

1 evaluators assessment of the wrinkle severity at six  
2 months after treatment and they would also measure  
3 the volume of the material injected.

4 Subject's and the investigator's nasolabial  
5 severity scores were compared and measured at two,  
6 four and six months.

7 The number of treatment sessions in order  
8 to achieve optimal cosmesis is another secondary  
9 endpoint that's been employed.

10 And blinded evaluator's use of the Lemperle  
11 scale at one month, at four months, and the subjects  
12 also used the Lemperle scale in their assessments  
13 were monitored at one, four and six months.

14 With regard to safety endpoints, safety was  
15 evaluated typically by comparing the incidence and  
16 severity of local and systemic adverse events as  
17 reported by the treating investigator from the  
18 pretreatment skin testing through six months post-  
19 optimal correction visit, or by comparing the  
20 incidence and severity of clinical events during and  
21 throughout 12 months after treatment completion.

22 And again, this is a summary and  
23 compilation of all of the protocols to date.

24 Serum samples for humoral responses were  
25 also collected in some of the protocols but not all

1 at one and six months post treatment.

2           And summary of the patient populations, the  
3 demographics ranged with regard to age. Patients  
4 were typically 30 to 77 years of age, and the mean  
5 ages ranged from 52 to 56 years. Subjects were  
6 predominantly female as discussed earlier and  
7 Caucasian. And with few exceptions, the studies  
8 enrolled low number of subjects with Fitzpatrick skin  
9 types, and that range was from 4 to 10 percent.

10           With regard to exclusion criteria and again  
11 the exclusion criteria will be a criteria where  
12 patients are not allowed to enroll in protocols, if  
13 there was evidence of an existing immune response  
14 against the study materials, if there was a history  
15 of bleeding disorders, connective tissue disease,  
16 pregnancy, or if a patient was unwilling to forego  
17 other facial treatment regimen, such as alpha hydroxy  
18 agents, botulinum toxin, microdermabrasion and  
19 retinoic acid therapy, during the study, they were  
20 not allowed to enroll into the study, and this is not  
21 an entire list of all of the exclusionary criteria  
22 for all of the protocols but these are a summary of a  
23 few common ones.

24           Most of the studies also excluded patients  
25 with current or recent soft tissue facial

1 augmentation, immunosuppressive therapy,  
2 chemotherapy, systemic corticosteroids, anticoagulant  
3 therapy and any use of other investigational products  
4 at the time.

5           With regard to the pretreatment plans, they  
6 were generally assessed and the procedures were  
7 performed within one to four weeks of initial  
8 assessment. Of course, they would have to have  
9 review of their inclusion and exclusion criteria. A  
10 physical exam was typically performed, and they also  
11 collected a medication and medical history. A  
12 baseline assessment of wrinkle severity was also done  
13 so there would be a comparative measure. And with  
14 regard to protocols which evaluated immune response,  
15 pre-baseline serum samples were collected, and then  
16 again we've discussed the frequency thereafter and  
17 the treatment plans.

18           With regard to the treatment plans employed  
19 and dermal filler protocols, immediately after device  
20 implantations, patients were monitored for adverse  
21 outcomes. Treating physician and subject evaluations  
22 of the cosmetic outcomes were also performed, and we  
23 discussed that as well.

24           After each injection session, the injection  
25 technique, we discussed those and the device volume

1 and anesthetic use were generally recorded and  
2 photographs were generally collected during all study  
3 visits.

4           With regard to short-term follow-up, in  
5 most of the studies, subjects were contacted by  
6 either telephone or evaluated at a clinic visit 72  
7 hours after treatment in order to determine  
8 incidence, severity and type of adverse event  
9 outcomes.

10           Subjects were also generally completed a  
11 post-injection diary that recorded their injection  
12 site reactions during the first 14 days after their  
13 treatment. Two weeks after the initial treatment,  
14 subjects returned to the clinic for evaluation of  
15 their wrinkle severity scale and adverse events.  
16 Between one and three touch-up treatments were  
17 performed in order to achieve optimal correction.

18           With regard to longer follow-up, the  
19 frequency and duration of clinical varied depending  
20 on the composition of the dermal filler. So some of  
21 the protocols required follow-ups at 1 month, 3  
22 months, 6 months and 9 months after the last  
23 injection, and then one protocol as far as to 12  
24 months.

25           At these visits, incidence, severity,

1 duration and type of adverse events were also  
2 recorded. Product effectiveness with regard to  
3 wrinkle severity scales, global aesthetic improvement  
4 and the treating investigator and/or subject  
5 satisfaction, those areas were also monitored and  
6 determined either by a masked evaluator, treating  
7 physician and subjects as I discussed prior.

8           With regard to the study types of designs,  
9 there are advantages and disadvantages to many types  
10 of the protocols that were used. So with regard to  
11 within-patient controlled protocol, the advantages of  
12 using that type of protocol includes that you can  
13 remove between-patient variability, requires smaller  
14 sample size for the given statistical power, and you  
15 can eliminate the imbalance of missing data between  
16 treatment groups.

17           However, the disadvantages include the fact  
18 that masking may be more easily compromised since  
19 each of the subjects received both of the treatments.  
20 You can also have asymmetry if you're using to  
21 different types of devices, and you cannot decipher  
22 between the cause of systemic side effects if you're  
23 using two different types of devices.

24           In addition, if you use concurrently  
25 controlled protocols, the advantages include the fact

1 that masking can be more easily maintained and  
2 systemic side effects can be attributed to the  
3 particular device, each of the specific devices, and  
4 you also have symmetric outcomes.

5           However, the disadvantages include that you  
6 probably require a larger patient population. There  
7 could be an imbalance of dropouts between the control  
8 and the treatment groups, and randomization may not  
9 account for all of the population differences.

10           In a single arm study, when there's no  
11 control and there's no historical control, this can  
12 be appropriate when you cannot randomize to the  
13 device type. However, the weaknesses can include  
14 that you can't mask, there could be a possible bias  
15 and the demographic and prognostic factors are not  
16 necessarily comparable.

17           With regard to superiority versus non-  
18 inferiority testing, superiority hypothesis can be  
19 appropriate when the control is a sham, there's no  
20 treatment or the treatment is known to be ineffective  
21 at the primary analysis time point. Treatment is  
22 also, if it's an adjunct to another treatment, for  
23 example, if the device is used with another device,  
24 and the other device, is better than the subject  
25 device alone.

1           Single arm comparison when it's compared to  
2 baseline, that's when it's also appropriate, and also  
3 it could be appropriate when the device is an  
4 enhancement implying that there's some superior type  
5 of aspect to the treatment procedure.

6           Non-inferiority hypothesis can be  
7 appropriate when there is a beneficial aspect of the  
8 device over the control, and also when a control is  
9 the active device and is known to be effective at the  
10 primary analysis time point.

11           There are some notes on superiority  
12 testing. The margin of superiority doesn't generally  
13 appear in the hypothesis but it is used implicitly  
14 for the purpose of sample size calculation. And if a  
15 claim is specific, as to the amount of the  
16 superiority, then the hypothesis will have to include  
17 that same amount.

18           And with regard to non-inferior testing,  
19 the margin of the delta is actually defined as the  
20 maximum clinically insignificant difference beyond  
21 which the device would be considered clinically  
22 inferior. Also, with regard to non-inferiority  
23 testing, it is not the observed treatment difference  
24 in the study sample that must be less than the delta,  
25 but the lower limit of the 95 percent confidence

1 interval around the observed treatment difference.

2 And also, the non-inferiority hypotheses  
3 are written in such a way that rejecting the non-  
4 inferiority null hypothesis means that the non-  
5 inferiority has been met.

6 So again, the purpose of the presentation  
7 was to present a synopsis which characterizes the  
8 different types of protocols that have employed to  
9 date and also to address our potential statistical  
10 issues. And subsequent to this, we'll actually go  
11 into further conversation with Jiyong about the  
12 future of evaluation of dermal fillers.

13 DR. DANG: Thank you, Dr. Francis. To move  
14 along, with growing consumer demand, FDA expects the  
15 continued submission of premarket applications for  
16 dermal fillers for both indications for filling of  
17 wrinkles as we have seen thus far and possibly for  
18 new indications for augmenting and contouring of the  
19 face and possibly the body.

20 Some of the aesthetic uses reported in the  
21 literature and public media include lip augmentation,  
22 contouring of the chin and jowl, contouring of the  
23 nose, cheek augmentation as well as hand volume  
24 augmentation and there are many others that are not  
25 included in this list and certainly each patient can

1 receive multiple of these types of treatments.

2           Injection other than for filling of  
3 wrinkles may introduce new risks due to differences  
4 in physiology of the injection region such as  
5 proximity to bone, proximity to nerves and vessels,  
6 vascular occlusion, thickness of the dermal and sub-  
7 dermal layers, tolerance to swelling, dynamic range  
8 of motion of tissue, tissue function as well as  
9 device migration. And again, this is not an  
10 exhaustive list. But certainly these are types of  
11 things one would consider would go into determining a  
12 risk benefit ratio, and they may or may not be the  
13 same or different between filling of wrinkles and  
14 dermal filler use for tissue recontouring, and  
15 certainly want to consider the risk benefit ratio  
16 when determining a clinical study design.

17           There exists a baseline of safety and  
18 effectiveness data that have been collected thus far  
19 from clinical studies that have been submitted to the  
20 FDA for support of premarket approval of dermal  
21 filler use for filling of wrinkles and folds. And  
22 with some of the potential new indications for use,  
23 they may or may not be differences in aesthetic  
24 considerations for effectiveness, immune response,  
25 inflammatory response and adverse events.

1           And these and others would play into inputs  
2 into clinical study design considerations, and some  
3 of the basics of a study design would include  
4 controls which would provide a method to study  
5 potential risks of treatment procedure, possibly  
6 independent of the study device and as well as aid in  
7 decrease of bias in the treatment.

8           One would also have to consider whether or  
9 not adequate controls exist, and they may not exist  
10 for all cases, and there may or may not be a need for  
11 possible use of the subject baseline as a condition  
12 of control.

13           Study endpoints generally include both  
14 effectiveness and safety endpoints. Effective  
15 endpoints could consider things such as aesthetic  
16 improvement, validated assessment, and also the  
17 frequency of filler injection either for optimal  
18 correction or for the maintenance of correction. And  
19 safety endpoints could consider subjects such as  
20 items that are specific to the injection site itself,  
21 the amount and frequency of the filler injected, the  
22 effects on native tissue physiology, tissue scarring  
23 that may or may not happen with the use of a device,  
24 as well as toxicity both local and systemic.

25           Study duration can also include

1 considerations such as durability of the treatment,  
2 as well as any sort of short and long-term clinical  
3 issues that may or may not affect device  
4 effectiveness and safety.

5           With those types of considerations of mine  
6 and many others that you may also develop, the FDA  
7 questions to the Panel carry issues such as whether  
8 or not nasolabial folds represent other parts of the  
9 face, whether it represents facial wrinkles as well  
10 as various aspects of clinical study design  
11 considerations both premarket and postmarket.

12           DR. LoCICERO: Thank you. I'd like to  
13 thank the FDA speakers for their presentations, would  
14 like to allow the Panel some time to ask questions of  
15 both speakers this afternoon. Are there any initial  
16 burning questions? Dr. Gooley.

17           DR. GOOLEY: This is for Dr. Francis. As I  
18 briefly said this morning, I was sort of struck by  
19 what I considered to be relatively small sample sizes  
20 for these studies and particularly for the studies  
21 that are designed with non-inferiority in mind,  
22 because as you know, non-inferiority trials take  
23 larger sample sizes than superiority trials. So what  
24 sorts of differences are typically looked at either  
25 for superiority or non-inferiority kind of trials and

1 for what endpoints in setting up these sample sizes?  
2 Or do you know?

3 DR. FRANCIS: Repeat the question please.  
4 Speak up some.

5 DR. GOOLEY: Well, I guess I'm wondering  
6 basically how are the sample sizes chosen? So what  
7 sorts of differences are assumed when the studies are  
8 designed for the sample sizes?

9 DR. FRANCIS: This is actually Phyllis  
10 Silverman and she is our statistician and she and I  
11 did the slides together. So she can answer this.

12 MS. SILVERMAN: Hi. Yes, Phyllis  
13 Silverman, FDA statistician. Generally for non-  
14 inferiority, if we have a five or six point scale, we  
15 generally feel that a half a point is a -- since a  
16 full point is generally the minimal clinically  
17 detectable difference, we usually require a half a  
18 point to be the margin of non-inferiority.

19 And for superiority studies, generally it's  
20 a full point.

21 DR. GOOLEY: Okay. And so can you really  
22 do that with 100 to 200 patients --

23 MS. SILVERMAN: Believe it or not, we can.

24 DR. GOOLEY: So you're assuming that the  
25 experimental treatment is quite a bit better than the

1 treatment that you're comparing it to, I guess and --

2 MS. SILVERMAN: Yes. We require the  
3 companies to do a sample size justification based on  
4 their primary endpoint, whether it's non-inferiority  
5 or superiority and then we use their effect size and  
6 we make sure that they'll have enough power and  
7 enough patients.

8 DR. GOOLEY: I'm sorry. And is there any  
9 consideration given to safety in the sample size  
10 calculations or is it based primarily on efficacy?

11 MS. SILVERMAN: With breast implants, yes.  
12 With wrinkle fillers, generally they're powered for  
13 efficacy.

14 DR. GOOLEY: Thank you.

15 DR. LoCICERO: Dr. Li.

16 DR. LI: I'm not exactly sure how to phrase  
17 this question but this goes back to my ongoing theme  
18 that these are made with very different materials  
19 that could have very different responses over  
20 different periods of time. So how -- I'm a little --  
21 well, my question is how do those variables get  
22 affected or rolled into your trial design? Because I  
23 don't really see any mention of any material issues  
24 at all through here. So if you have one material,  
25 for instance, that perhaps degrades very quickly

1 versus one who doesn't degrade very quickly but  
2 there's also a particle size difference or a dose  
3 difference, how is that all taken account in there?

4 DR. DANG: Is the question about the  
5 presentation or --

6 DR. LI: Well, I didn't hear anything about  
7 that at all in the presentation. So maybe my  
8 question is it that just kind of hidden in here  
9 somehow? Is it a detail that's not directly  
10 discussed or is it just an area that wasn't  
11 addressed?

12 DR. LoCICERO: I think Mr. Melkerson wanted  
13 to make a comment.

14 MR. MELKERSON: Actually the details of the  
15 studies themselves are included in the summaries that  
16 were links in the public information and hard copied  
17 in your Panel packs, but Dr. Charles DURFOR is  
18 actually probably the lead reviewer on many of these  
19 and he could give you a more detailed answer.

20 DR. DURFOR: I appreciate your question. I  
21 think it's very important. Generally before a study  
22 begins, we have a sense of how long the product will  
23 last, and so we work very hard to make sure that  
24 patients, that the products have a reasonable, if  
25 it's a with-in patient study design, where a patient

1 actually could suffer from facial asymmetry, we try  
2 and work very hard to make sure that the products  
3 will have a similar residence time.

4 DR. LI: Well, I guess I'm looking for a  
5 more insidious problem. For instance, you might have  
6 Product A where you have some kind of visual  
7 assessment and that you score with some visual  
8 assessment scale, and then another product that  
9 you're also going to make a similar assessment on.  
10 Is it possible from a statistical point of view that  
11 one material just has a broader range or a larger  
12 standard deviation than the other?

13 DR. DURFOR: Could you be more specific on  
14 the type of visual assessment? Are you talking about  
15 a wrinkle severity?

16 DR. LI: Well, fine. It doesn't matter to  
17 me in my question but that would be fine. So in  
18 other words, maybe Material A spans maybe standard  
19 deviation of, for whatever reason, four or five units  
20 but another one only has spans or a range of, you  
21 know, one or two units. You know, how do you deal  
22 with that ahead of time?

23 DR. DURFOR: Right. And I certainly will  
24 yield to Ms. Silverman who's done a lot of the  
25 statistical analyses but from a very basic point of

1 view, all of the products which are used are FDA  
2 approved products and are commercially available, and  
3 so there may be times where you will have a HA  
4 product versus a collagen product, but if you're  
5 comparing FDA approved products, it's appropriate.

6 All of the endpoints are done with regards  
7 to the same scale, and they are usually done as a  
8 point estimate at a particular time point. So we  
9 have not run into that problem. We have not observed  
10 that problem in terms of some products offering a  
11 variation of five points versus two. Instead, what  
12 we generally try and do in these studies is make sure  
13 that the patient receives an optimal cosmetic  
14 correction, and that's when the clock starts. And  
15 that's a prespecified endpoint in terms of what the  
16 physician feels is a valid, best as you can do with  
17 the patient, and then you start the clock there.

18 Does that answer your question?

19 DR. LI: Just one more follow-up before I  
20 confuse myself some more. Did I just hear you right  
21 that you essentially use the number of units  
22 necessary to get an effective treatment? Is that  
23 what I just heard you say?

24 DR. DURFOR: Right. Most of the wrinkle  
25 severity scales are a five or six point scale and as

1 Ms. Silverman has mentioned, a point difference as  
2 this Panel has already discussed on products in the  
3 past, is usually determined pre-beginning of the  
4 trial to be whether or one point difference is or is  
5 not a clinically significant difference.

6 DR. LI: But that would mean then perhaps  
7 different patients would get different amounts of  
8 material to get to the same clinical endpoint?

9 DR. DURFOR: That's correct. And that sort  
10 of information is presented in the label. We feel  
11 it's important not only to give the consumer an idea  
12 of how many treatments some products may need, one  
13 product may need one or two treatments, one may need  
14 two or three, we try and provide that on the label  
15 for each product, as well as an idea of the  
16 distribution of amounts that go into each patient, so  
17 that the product is accurately labeled.

18 DR. LI: Okay.

19 DR. LoCICERO: Other questions for the FDA?

20 (No response.)

21 DR. LoCICERO: Thank you very much. We're  
22 scheduled for a break, but we're actually a little  
23 early. My goodness. So at this point, what we'd  
24 like to do is ask for general impressions and  
25 comments at this time, and I'm going to sort of be a

1 little bit in reverse this time. So, Mr. Halpin.

2 MR. HALPIN: So with regard to clinical  
3 study designs, my first general take away from this  
4 is that for nasolabial folds, I think it's important  
5 to note that the study designs have been iterative  
6 over time, and that manufacturers and the FDA have  
7 been able to use the prior trials in order to design  
8 better or more improved trials to achieve their  
9 goals. I think these trials are primarily designed  
10 to evaluate efficacy. They use non-inferiority as  
11 well as superiority. Endpoints sometimes are even  
12 nested with a non-inferiority primary endpoint  
13 followed by a superiority secondary endpoint.

14 So I think the trial designs are fairly  
15 sophisticated. They will detect differences in  
16 volumes if the two products require difference in  
17 volumes and they typically are over landmark time  
18 points, three months, six months, nine months, where  
19 they compare the two products.

20 In terms of endpoint analysis, most of the  
21 endpoints are validated, have been photographic  
22 scales as well as scales that are used by actual  
23 blinded live evaluation. So they're fairly  
24 sophisticated trials that are developed over time.

25 I think as you look to try to apply this to

1 new indications, I think one of the major questions  
2 will be for a first product in, what do they need to  
3 be compared to, if anything, and how do we evaluate  
4 that first product that's going through the process  
5 for a new indication beyond the nasolabial fold.

6 DR. LoCICERO: Ms. Rue.

7 MS. RUE: We talked about efficacy, but I  
8 think obviously one of the concerns we need to do as  
9 part of the outcomes is the safety, and also in  
10 listening to the discussions, obviously there's some  
11 common areas of the face that we talked about that  
12 are similar to the nasolabial folds that would be  
13 easier to roll in than some of the others, but the  
14 other areas are going to be continued to be used as  
15 sites also. We need to continue to figure out how to  
16 evaluate those.

17 DR. LoCICERO: Dr. Li.

18 DR. LI: It seems where I am at the moment,  
19 it's seems like I understand how the trials are being  
20 done now, and I understand that it's a reasonably  
21 effective way to evaluate the different products but  
22 the frustration part for me is that it's not really  
23 done in such a way that I could basically evaluate  
24 the materials because we're using different amounts  
25 perhaps over different periods of time, with

1 different endpoints. So although we walk away saying  
2 Device A used in such a manner evaluated at such and  
3 such an endpoint is relatively safe, it doesn't give  
4 me any basic information about that material.

5 So which means each time that material is  
6 used in another indication or another amount, we  
7 don't really know anymore than the time we did  
8 before. So we end up having to do the whole study  
9 over again.

10 So I don't know quite how to get around  
11 that. So we're kind of satisfying one question but  
12 we never really seem to get toward the basic question  
13 of evaluating essentially a dose response for a  
14 particular material in a particular location.

15 DR. LoCICERO: Dr. Anderson.

16 DR. ANDERSON: Well, I'm basically  
17 satisfied with the endpoints. I think that they are  
18 reasonable endpoints given the product and the  
19 popularity of the product.

20 I also think that I'll be really happy when  
21 ASPS has their patient satisfaction scale. I've  
22 advocated that for years. In the absence of that, I  
23 think we can use a patient satisfaction Likert scale  
24 as a way of indicating patient satisfaction.

25 With regard to new indications, I'm

1 wondering if there are publications or presentations  
2 that have been given at professional organization  
3 meetings that we can draw on in an effort to see how  
4 these products are being used off label and perhaps  
5 provide some guidance in that regard.

6 DR. LoCICERO: Dr. Gooley.

7 DR. GOOLEY: Well, not to beat a dead horse  
8 here, but I guess I'm still a little bit surprised at  
9 the small sample size. Now, if the assumed true  
10 differences in efficacy are relatively large, studies  
11 will be adequately powered, and I'm sure they are. I  
12 don't know what they assume true differences are, but  
13 I guess that raises a question in my mind of safety.  
14 With only 100 to 200 patients per arm, I don't know  
15 how much confidence you have that one product is safe  
16 enough or safer than another product, and that would  
17 be one concern that I would have with these  
18 reasonably small sample sizes.

19 DR. LoCICERO: Okay. So we've heard from  
20 our consumers and industry and our scientists, non-  
21 clinical scientist. Now, we want to move into those  
22 who are going to actually do the study. So,  
23 Dr. Walker, comments?

24 DR. WALKER: I think that I too am  
25 satisfied with the endpoints but the issue of off-

1 label use is not being addressed and absolutely needs  
2 to be evaluated. Although the nasolabial folds is a  
3 very consistent focus, perhaps adding one additional  
4 site per product may be a way to move forward. I'm  
5 not exactly sure how else to address that issue  
6 because it needs to be addressed because we are all  
7 using these products outside of the nasolabial folds  
8 routinely.

9 DR. LoCICERO: Dr. Burke.

10 DR. BURKE: I think that I'd like to see  
11 quantitative data. I mean I know that photographs  
12 are good and patient satisfaction is good but perhaps  
13 measurements could be made of dermal thickness with  
14 time. And I might even like a whole different kind  
15 of study that everyone has the same amount put into  
16 the nasolabial fold and instead of having all the  
17 variability, have a really strict protocol that X  
18 amount will be injected and will be evaluated at one  
19 month, three months and six months and we would do a  
20 real measurement of dermal thickness at a prescribed  
21 place on the patient's face. I know that there's a  
22 lot of variability with that. So you'd have to do  
23 several measurements around a point that is measured  
24 and documented with a photograph.

25 But I think I would like to see some

1 studies like that if were ever possible.

2 DR. LoCICERO: Dr. Newburger.

3 DR. NEWBURGER: I think that the algorithms  
4 used in the past have been terrific when we use these  
5 products as wrinkle fillers but generally there's  
6 been a change in the art and these products are used  
7 more to provide volume in areas where it has been  
8 lost, whether it's through disease, age or trauma or  
9 congenital deformities. And in order to do that, I  
10 think we do need data. I think we need objective and  
11 quantifiable data, and I've often heard that there is  
12 no validated scale. There are visual ways to  
13 validate scales, whether it's in photographs, looking  
14 at the maintenance of how long a fill can be  
15 demonstrated or using some of the 3-D imaging systems  
16 such as Canfield spectra.

17 In terms of durability extrapolating from  
18 nasolabial folds to other sites, I think that since  
19 there are different stresses towards mobility in  
20 other areas, it may not be directly applicable but I  
21 too am looking for more data to look for persistence  
22 of response durability of the effectiveness as well  
23 as safety.

24 DR. LoCICERO: Dr. Bigby.

25 DR. BIGBY: I actually have sort of general

1 comments that are meant more to be food for thought  
2 than actual suggestions for the discussion about the  
3 questions.

4           One is that, you know, since these are by  
5 and large cosmetic procedures, I think that patient  
6 reported outcomes are actually the most important and  
7 should be given the most emphasis, you know, so  
8 things like patient satisfaction and changes in  
9 quality of life, I think are actually much more  
10 important than measured scales.

11           The second food for thought idea is that  
12 I'm not sure that getting FDA approval for an  
13 indication is going to change use much because the  
14 patients have already sort of voted. I mean they're  
15 coming with lots of money in hand to have these  
16 procedures. So they really voted for having them,  
17 and I'm not so sure that getting approval is going to  
18 actually help the manufacturer all that much.

19           And then the third one was I heard the  
20 statement, I don't remember exactly who made it,  
21 about sort of you can't study the biology of these  
22 agents by doing biopsies because, you know, people  
23 won't want to have biopsies done on the face.  
24 However, you can learn a lot by doing studies on  
25 other areas and have it done on volunteers. So I

1 think that that's an argument that actually has no  
2 validity.

3 DR. LoCICERO: The surgeons get the last  
4 word. Dr. McGrath.

5 DR. McGRATH: I actually have no global  
6 comments at this time, Dr. LoCicero.

7 DR. LoCICERO: Dr. Olding.

8 DR. OLDING: I'm not going to beat a dead  
9 horse, particularly since I ride one most of the  
10 time, but I agree that the sample size seems  
11 amazingly small to me given the number of people in  
12 the United States that are getting these products  
13 injected, and it would go a long way to make me feel  
14 more comfortable having a larger sample size, and  
15 that's because there are so many variables. Even the  
16 term dermal filler really isn't accurate for where we  
17 put many of them these days. They're not really just  
18 dermal fillers. In fact, we put them into  
19 subcutaneous tissue. Around the eyes, we're told to  
20 put them as deep as possible. So there's so many  
21 variables, it's very difficult to evaluate.

22 So I would love to see a larger sample  
23 size, but it's not an insurmountable problem, but  
24 it's certainly a difficult problem that I think has  
25 to be addressed based on the durability, the

1 complications, et cetera. So other than that, I have  
2 none.

3 DR. LoCICERO: Well, the Chair is going to  
4 take the prerogative to make one general comment.

5 One of the things that we've been hearing  
6 about today is different areas of the body and how  
7 those regions might be similar or dissimilar. And it  
8 struck me that the FDA actually has some sort of  
9 guidelines on this in that all the time they evaluate  
10 and pronounce things substantially equivalent.

11 So while we go on break, maybe it would be  
12 great if somebody from the FDA could sort of give us  
13 some insight into how they determine that something  
14 is substantially equivalent. Then let's see if we  
15 can extrapolate that back toward us. We're talking  
16 about different regions of the body that might be  
17 substantially equivalent, but save that thought and  
18 we'll come back to that after the break.

19 So we're going to take a break now, and  
20 come back at 3:10.

21 (Off the record.)

22 (On the record.)

23 DR. LoCICERO: So you're left with a  
24 teaser, sort of a cliffhanger, so to maybe get you  
25 back into the room quicker that way.

1           So the question concerns substantial  
2           equivalency of body parts and whether or not the FDA  
3           could give us some guidance based on their evaluation  
4           of substantial equivalency.

5           So I believe Dr. Melkerson has a response  
6           for us.

7           MR. MELKERSON: Well, I'll start off with  
8           the first issue, substantially equivalent doesn't  
9           apply to Class 3.

10           That being said, one of the questions that  
11           we're actually posing in looking to the clinical  
12           community as well as industry, as well as the Panel,  
13           is are there ways to look, and I think I heard a  
14           discussion earlier in the comments, studies are  
15           generally aimed or focused at a narrowed indication  
16           for use and that's what they get approved for. We  
17           face the same issue across device types of they  
18           studied X but we know it's going to be used for X, Y  
19           and Z. But when you start talking about least  
20           burdensome, how do I get to the market? How do I get  
21           a broader indication to use once I start with a  
22           narrow indication for use or are we thinking about  
23           study designs incorrectly and we should be looking at  
24           how do you design a broader study to address safety  
25           and maybe approaching it from a different concept

1 saying if the issue is safety, looking at multiple  
2 locations for your efficacy, but looking at safety  
3 for a broader scope. But those are examples and  
4 those are the types of things we'd like the Panel to  
5 try to focus in on.

6           Getting back to the equivalence of  
7 locations in the body, I think again I heard in the  
8 discussions of the Panel already, some of them are  
9 superficial, some are deep dermis, what would make  
10 sense to lump together that may be, and again I keep  
11 hearing the consensus conference concept but those  
12 are the types of discussions that FDA's always open  
13 to be entertained, but lacking that, we tend to go  
14 with what a company proposes to us and it's usually  
15 much easier for them to say, well, they did it.  
16 We're going to copy their study that may be a narrow  
17 indication but not necessarily where ultimately the  
18 products are going to be used.

19           I don't know if that answers your question  
20 or avoided the question, but I hope that gives you  
21 feedback.

22           DR. LoCICERO: At least it brought  
23 everybody back.

24           So are there any other general comments  
25 before we proceed?

1 (No response.)

2 DR. LoCICERO: Okay. We're going to now  
3 focus on the discussion of the FDA questions. Copies  
4 of the questions are again provided in the folders  
5 for the Panel members. Dr. Dang will present the  
6 questions.

7 DR. DANG: Thank you. So the first  
8 question is device effectiveness has been evaluated  
9 using validated wrinkle severity and global aesthetic  
10 improvement scales. Are these evaluation methods for  
11 determining device effectiveness in clinical studies  
12 adequate? Are particular evaluation methods more  
13 predictive of device effectiveness in the general  
14 population than others? And, what is the value of  
15 masked versus non-masked evaluation, and live versus  
16 photographic evaluation?

17 DR. LoCICERO: So this is an evaluation of  
18 endpoint as the initial discussion. So anybody have  
19 comments concerning effectiveness as measured by  
20 these methods?

21 DR. McGRATH: Well, I'll make the first  
22 comment on it. With regard to using the validated  
23 wrinkle severity and global aesthetic improvement  
24 scales, I think those have been adequate for the  
25 wrinkle assessment, but I think, and this has been

1 mentioned already, now that we're talking about  
2 augmentation and volume enhancement, I think we're  
3 going to have to add something else to that. I don't  
4 know exactly if we talk about a global aesthetic  
5 improvement scale, I think that would need some  
6 refinement to decide exactly what those words mean if  
7 we're going to start looking at volume enhancement in  
8 deciding whether or not that's accomplishing the  
9 aesthetic end. So I think that's something that,  
10 number one, needs to be added and, number two, needs  
11 to be defined.

12 DR. LoCICERO: Dr. Burke, you had some  
13 ideas before about effectiveness measures. Are these  
14 scales really adequate in today's society?

15 DR. BURKE: Well, I think in a way they're  
16 adequate because what we want to have is patient  
17 satisfaction with safety, but I think in today's age,  
18 we can make quantitative measurements, and we can  
19 make quantitative measures about how effective the  
20 enhancement is at various time points, and I think  
21 that is of interest and I think it is of interest to  
22 compare the various in kinds of in plants with each  
23 other. So I advocate quantitative measurements.

24 DR. LoCICERO: Dr. Gooley, how can we use  
25 these in a study where we're going to have primary

1 and secondary endpoints?

2 DR. GOOLEY: Well, I guess I wonder why a  
3 little bit more attention isn't paid to safety as  
4 almost a primary endpoint here but --

5 DR. LoCICERO: Well, that's coming up in  
6 another question.

7 DR. GOOLEY: Okay.

8 DR. LoCICERO: We're just talking about  
9 effectiveness right now.

10 DR. GOOLEY: Well, I certainly am in no  
11 position to say, given my background, what I think an  
12 appropriate efficacy measure is but just from a  
13 statistical point of view, whatever the community  
14 that's expert in the area I think is the appropriate  
15 measure to use. You know, it's the statistician's  
16 job to make sure that there's a meaningful way to  
17 analyze that endpoint and to consider it in the  
18 sample size calculations. So I'm not sure I answered  
19 your question but I --

20 DR. LoCICERO: Well, in one case we have  
21 something where we eyeball it and say it's one  
22 through six, and we have another potential measure  
23 that is a continuous variable. So in terms of  
24 evaluation, deciding on the number of individuals in  
25 the population for effectiveness, give us a little

1 sense for the sort of studies that would be designed  
2 either way.

3 DR. GOOLEY: In terms of how those things  
4 would be evaluated?

5 DR. LoCICERO: Yeah. We look at it and we  
6 say, that looks pretty good. You know, is that going  
7 to give us the kind of statistical power that we need  
8 or do we need to get more patients in that study or  
9 fewer patients and, you know, what about a continuous  
10 variable instead.

11 DR. GOOLEY: Well, in general a continuous  
12 variable will require fewer patients than a binary  
13 outcome, just a yes, no question but it all depends  
14 very much in terms of the sample size. It depends on  
15 what your assumed true rates of success or failure  
16 for a binary outcome or what your assumed true rates  
17 for whatever your continuous outcome are and the  
18 difference. That's what dictates the sample size.

19 So no matter what you use, you just have to  
20 make sure that the appropriate statistical methods  
21 are employed but as I said, in general, a continuous  
22 variable will require a slightly smaller sample size  
23 than a yes, no variable.

24 DR. LoCICERO: Okay. Dr. Newburger.

25 DR. NEWBURGER: I believe the current

1 evaluation methods for determining device  
2 effectiveness for nasolabial folds are fine for  
3 determining nasolabial fold correction, but the way  
4 things are going now, we have to go toward new  
5 evaluation methods. I believe they should be  
6 quantitative, but I want to talk about the population  
7 here.

8 I believe that there are many different  
9 populations that are being treated for different  
10 purposes, and so even if you take something such as  
11 augmentation of the cheek or modification of the  
12 shape of the lip, you're going to be looking at  
13 people that want correction because of age  
14 considerations or trauma or disease or style. For  
15 example, what is acceptable as a correction or  
16 desirable as a correction in New York is very natural  
17 and what you're going to be looking at in the west  
18 coast or in the South will be something very  
19 different, and it also has great variation depending  
20 on which ethnic group is seeking treatment, what the  
21 style is. And so I don't think it's fair at that  
22 point to use a global assessment scoring system.  
23 It's not going to be read the same way.

24 So understanding that style or definition  
25 is something that is still going to be in the eye of

1 the beholder, there has to be instead an objective  
2 way for looking for persistence of the fact, and I  
3 think that's what the concentration of quantitation  
4 should be focused on, durability.

5 DR. LoCICERO: Dr. Anderson.

6 DR. ANDERSON: I would agree with you in  
7 principle. Unfortunately, there are very few  
8 quantitative scales that are really appropriate for  
9 this population. For example, a lot of the self-  
10 esteem scales, such as the body esteem scale, refer  
11 to areas of the face like satisfaction with the eyes,  
12 satisfaction with the nose, satisfaction with the  
13 mouth, also the thighs and the waist and the  
14 buttocks. So it's very difficult to find a  
15 quantitative scales that's been validated that would  
16 be appropriate I think for facial corrections other  
17 than the nasolabial fold.

18 DR. NEWBURGER: I beg your pardon,  
19 Dr. Anderson. Just because it hasn't been used on a  
20 widespread basis doesn't mean that it doesn't exist  
21 or that it cannot be devised and validated. That's  
22 actually not that much of a challenge.

23 DR. ANDERSON: right, and that was what I  
24 was going to go ahead and add. I was going to say  
25 that perhaps development of a scale that addressed

1 those areas of the body that might be incorporated  
2 into the test site, such as the cheek augmentation or  
3 something, could be developed. It could be a three  
4 or a five question scale that addressed those areas  
5 that would be identified, using a Likert scale  
6 because it would give you greater variability and  
7 give you an opportunity to look for patient  
8 satisfaction and differences, but that's an inherent  
9 problem. And as I said earlier, I'm really glad that  
10 the plastic surgery folks are working on something  
11 because we really need it in this area.

12 DR. LoCICERO: So there's nothing at the  
13 moment to grab off the shelf, but in terms of plastic  
14 surgery, there is something currently being developed  
15 or other literature already available.

16 DR. OLDING: I actually was going to  
17 address the last part of 6(c) which was live versus  
18 photographic evaluation. Now, we're moving from  
19 nasolabial fold correction to really volumizing of  
20 the face, and I'd say the vast majority of the  
21 patients that I treat today have more concerns about  
22 the volume in their face.

23 And so there is no fold to correct. There  
24 is nothing to measure correctness, but there are now  
25 very good photographic methodologies available to

1 demonstrate the volume pre and post-op and, in fact,  
2 one of our members is doing a study of aging on us  
3 over the years. And I would think that that would be  
4 one way of determining the overall volume because I  
5 frankly don't care how it corrects something. I want  
6 to know will it fill up that nasolabial fold? Will  
7 it fill up the face? And, more importantly, how long  
8 will it last?

9           So I have to be able to tell my patients  
10 these days which one lasts the longest in this  
11 particular area and if we can have some objective  
12 analysis of that volume, I think it'll be important  
13 and I think photographically it will go a long way to  
14 assist with that.

15           DR. LOCICERO: Mr. Halpin, so we're talking  
16 about development of something new as a tool to  
17 evaluate these products. How is industry going to  
18 deal with new development of evaluation?

19           MR. HALPIN: Well, I think when the studies  
20 of nasolabial folds were first being constructed, the  
21 five point or six point scale had to be developed.  
22 So I think it started with target photographs of what  
23 was agreed by academia to be a five, a four, a three,  
24 a two, a one, and those were developed into one scale  
25 where it actually shows the wrinkle as it's

1 progressing from the worst on the scale to the best  
2 on the scale, and I think it's important to note that  
3 the best on the scale is not maximum correction but  
4 the optimum correction, and one of the things we  
5 found in developing these scales is that the  
6 photographs are very good for educating someone on  
7 how to use the scale, but it's a question as to  
8 whether you can take that scale and compare it to a  
9 photograph or whether you have to compare it to a  
10 live face.

11           So I think part of that has to be left up  
12 to what you're actually trying to do and what the  
13 industry or the sponsor actually feels is the most  
14 appropriate way, but I think it would be very much  
15 within industry's capability to work with academia to  
16 create those types of scales for different areas,  
17 keeping in mind that it's not entirely quantitative  
18 as much as it is you're trying to get an optimized  
19 effect. And, ultimately the goal is, is the patient  
20 very satisfied? Did they get the correction they  
21 wanted? And when you observe it visually with your  
22 eyes, is that what you're actually trying to achieve  
23 rather than did it go three millimeters or achieve  
24 some quantitative scale.

25           DR. LoCICERO: So Polaroid is going out of

1 business or has been out of business. You're about  
2 to run out of film sometime in 2009. So we're going  
3 to be going to digital photos, and I can on my iPhone  
4 morph a face to look like anything I want. So how  
5 are we going to deal with this issue in terms of  
6 evaluation? Dr. Walker.

7 DR. WALKER: Dr. McGrath can speak to that.

8 DR. McGRATH: We already have those systems  
9 in place. For example, for our trainees who are  
10 taking board exams, there are devices that you use  
11 that show that a photograph has not been altered. It  
12 has to be present on the corner of the photograph if  
13 they're to sit for board certification to show those  
14 cases. So those things are already in place if  
15 that's what you're alluding to, to ensure honesty and  
16 accurate photography. That methodology is already  
17 out there.

18 DR. LoCICERO: Okay. If we're considering  
19 using photographs, is it still necessary to mask our  
20 evaluators? We're all becoming quite sophisticated  
21 figuring out what stuff is. Physicians are very good  
22 at sleuthing out subtle differences. So is it still  
23 necessary to try to mask them? First, Dr. Burke.

24 DR. BURKE: I think it definitely helps  
25 decrease bias, and we don't mean to have bias but

1 those of us that have even counted cells in  
2 microscopes know that if you want a result, you kind  
3 of, if the thing is on the border, you count  
4 differently perhaps. So I think it's so much better  
5 to do everything, to do things masked because it  
6 alleviates one subjective variable. It removes one  
7 subjective variable.

8 DR. LoCICERO: Dr. McGrath.

9 DR. McGRATH: Yeah, and I was going to say  
10 I think that particularly when we get into the issue  
11 of volume enhancement, blinding will be very useful  
12 because I think many of these will be much more  
13 subtle changes and I think it will be interesting to  
14 see whether, and this will be a learning experience.  
15 This is all very exciting because this is new. If  
16 people put a certain amount of a product into perhaps  
17 build up the malar area or the chin, I mean how much  
18 do you need to see a difference, and I think that it  
19 would be very useful to have people be blinded to the  
20 before and the afters and so forth because it'll  
21 start to give us a lot of information about how much  
22 change you want to achieve, you can achieve, how much  
23 is suitable, and that type of information.

24 DR. LoCICERO: Dr. Li.

25 DR. LI: I don't know how practical or

1 impractical this is, but in other areas where we've  
2 had to deal with subjective rating systems, we've  
3 actually found that multiple observers often give you  
4 a little more information than blinded. So you can  
5 basically get some of then the skew or the different  
6 ways of the different subjective ratings.

7           So numerically, if it's possible to have  
8 multiple observers, especially in some cases as  
9 someone pointed out, you really can't do a blinded or  
10 a masked protocol. In that case, I think multiple  
11 observers might be an alternative.

12           DR. LoCICERO: Dr. Gooley, is there a way  
13 to adjust an evaluator based on their bias?

14           DR. GOOLEY: Well, if you have multiple  
15 observers, you have to alter your statistical  
16 techniques to evaluate things, but that's certainly  
17 doable.

18           DR. LoCICERO: If you had one person who  
19 was really hard, another person who was really easy,  
20 could you level a playing field?

21           DR. GOOLEY: Are they judging the same --  
22 the hard person and the easy person are judging the  
23 same patient?

24           DR. LoCICERO: Yes.

25           DR. GOOLEY: Well, if the hard person and

1 the easy person, as long as they do all the patients,  
2 you can control for that, yeah. It's a little bit  
3 more complicated to do an analysis like that, but it  
4 certainly is doable.

5 DR. LoCICERO: Dr. Newburger.

6 DR. NEWBURGER: If you have some patient  
7 subjects being untreated for a period of time, but  
8 the observers don't know who's being untreated and,  
9 for example, start someone at month two or month  
10 three of the protocol as their treatment date, but  
11 don't let the observers who's actually being treated,  
12 you should have a way to suss out who's hard and  
13 who's easy and that should perhaps uncover some bias.

14 DR. OLDING: I might add one thing. If you  
15 do have multiple observers, that does increase your  
16 variability a bit. It might increase your sample  
17 size requirements somewhat if that's taken into  
18 account in the sample size estimates.

19 DR. LoCICERO: Okay. Mr. Melkerson, on  
20 this question, has the Panel provided sufficient  
21 information for the FDA?

22 MR. MELKERSON: Yes. Thank you very much.

23 DR. DANG: So the next question covers some  
24 safety questions. Are the evaluation methods used to  
25 determine device safety adequate? Should current

1 safety evaluations be expanded to include larger  
2 studies to detect adverse events that may occur at a  
3 lower frequency, studies of longer duration to detect  
4 delayed onset of adverse events, and/or histological  
5 evaluation of biopsy samples?

6 DR. LoCICERO: Thank you. Okay.

7 Dr. Gooley, here's your safety question.

8 DR. GOOLEY: Yes is my answer.

9 DR. LoCICERO: Spoken like a true  
10 statistician that's for sure. Dr. Bigby.

11 DR. BIGBY: So I think in order for the FDA  
12 to ask a Panel this question, they need to be clear  
13 about how they define a serious adverse event. You  
14 know, there are some standard definitions such as it  
15 requires hospitalization or intervention of a doctor.  
16 And, what frequency of serious adverse events they  
17 would consider unacceptable. I mean as a Panel  
18 member, I have no idea what that is in their minds.

19 The same thing is true for non-serious  
20 adverse events, you know, define the adverse events  
21 that they're interested in and at what frequency they  
22 would become unacceptable.

23 And then I think as you go about answering  
24 this question, clearly if you are talking about  
25 events that occur, anything less than, you know, five

1 percent of the time, none of the studies have been  
2 adequate but we don't really know what is your  
3 threshold for considering something either too  
4 frequent or too serious.

5 DR. LoCICERO: Dr. Walker.

6 DR. WALKER: My immediate answer would be,  
7 yes, all the above as well. However, I'm  
8 particularly concerned about the studies for longer  
9 duration. With the newer products that are coming in  
10 the market, I think there should be some way for the  
11 study design to reflect the duration that is intended  
12 and then have some parameter to look at what a longer  
13 duration would actually mean. Does that mean if a  
14 product lasts for 6 months, should it be studied for  
15 12 or possibly 18? If a product is supposedly  
16 lasting for two to five years, what would actually be  
17 considered a delay onset and how long should it be  
18 looked at?

19 So I'm thinking some parameter of either  
20 double or triple the amount of time. I mean that may  
21 not be feasible but that's what a delayed onset issue  
22 would really require, and there's no way to really  
23 quantitate that. Some of the earlier studies, we're  
24 looking at products that were basically looking at a  
25 three to six month duration. So 12 months was

1 probably adequate. If, in fact, the duration is 12  
2 to 18 months, what's an adequate longer duration to  
3 really detect the delayed onset of these adverse  
4 events which is a major concern I think.

5 DR. LoCICERO: Dr. Li, do you have any  
6 thoughts about that?

7 DR. LI: I have a couple. One, I guess  
8 what I'm hesitating for is most of these materials  
9 actually have a long history in medical implants,  
10 methyl methacrylate, hydroxylapatite, polylactic  
11 acid, actually have long histories as implant  
12 materials in other devices. And there's some lessons  
13 to be learned from there, although they're not  
14 exactly on point because of, you know, the dose  
15 response issue and other material factors.

16 So I'm not quite sure how to -- I'm just  
17 sitting here trying to figure how to meld all that  
18 into something intelligent, but I haven't got there  
19 yet. One issue for instance is the histological  
20 evaluation of the biology samples. Although as a  
21 scientist I'm always interested in looking at  
22 histology, but I don't really know why we're looking  
23 at it if we can't associate the histology with some  
24 significant clinical event. My experience is if you  
25 implant particles in tissue, any tissue, and come

1 back and look in a few weeks, you're going to see  
2 some cellular response you'd rather not see. But  
3 whether or not that actually goes onto have a  
4 clinical consequence is a completely different  
5 question.

6           So I guess it seems to be more of a very  
7 research project, for instance, on the histology  
8 unless there's a specific clinical endpoint that  
9 we're trying to reach, and I guess in the discussion  
10 yet, the clinicians will have to tell me if there's  
11 some clinical endpoint that we could relate to  
12 histology. In the absence of that, I'm not sure  
13 requiring histology gets us anywhere.

14           And I guess I would say the same thing for  
15 the other things, to look at, unless there's some  
16 significant clinical event that we're trying to  
17 explain, I think just looking may not advance our  
18 knowledge.

19           Actually one follow-up. I'll just get on  
20 my soapbox for one thing. And I guess the reason for  
21 the confusion is the thing that's kind of lacking in  
22 here is what I'll call basic understanding of the  
23 effect of these materials, their particle size and  
24 their dose as a function of some clinical event. In  
25 other areas, for instance, we know -- I've spent my

1 life actually interesting enough trying to avoid the  
2 generation of small particles in implants and here's  
3 this whole area of where they purposely put in small  
4 particles. So it takes me back a little bit because  
5 you're doing things that I've spent a lifetime trying  
6 to avoid.

7           That being said, it seems like it's, you  
8 know, on the scheme of things not all that bad,  
9 right, because most of the patients seem to be doing  
10 okay, but on the other hand, there seems to be a  
11 small group that doesn't do that great. And so I'm  
12 not quite sure why in the absence of some basic  
13 information.

14           So maybe one thing I could offer the  
15 companies is, if you really want to get to the bottom  
16 of this, fund some basic research or if you're a  
17 clinician, you know, apply for a NIH grant and get  
18 some basic studies done because I think in the  
19 absence of that, we're always going to be bumping  
20 around in here trying to figure out what the heck  
21 we're doing.

22           DR. LoCICERO: Mr. Halpin.

23           MR. HALPIN: With regard to safety, I think  
24 a lot of it is very specific to the material as  
25 Dr. Li just pointed out, and I think most

1 manufacturers have a lot of preclinical material on  
2 their products. I know that many manufacturers  
3 actually control their particle sizes based on what  
4 they perceive will be issues if they don't control  
5 them.

6 I think from a safety point of view, if you  
7 look at the clinical trials we currently do,  
8 procedural related adverse events may be as much as  
9 80 percent of patients. They may have multiple  
10 procedural related adverse events and then underneath  
11 that are some adverse events during clinical trials  
12 which appear to be device related for whatever reason  
13 and may occur somewhat later during the duration of  
14 the product.

15 Most manufacturers I believe have a good  
16 idea of what the residence time or the durational  
17 effect of their product is, particularly when they're  
18 doing a pivotal clinical trial. So I think they have  
19 a good feel for how long to study the product.

20 I believe that most of these clinical  
21 trials are done with very standardized injection  
22 techniques. If you look at what we saw earlier today  
23 with MDRs, I think maybe in 2007, there were 2  
24 million dermal filler injections, and there were  
25 maybe 130 MDRs reported, obviously underreporting,

1 but you're detecting something in the 5 in 100,000  
2 range if you try and go out and actually study that.  
3 And I think that given the idea of trying to  
4 establish the base safety and effectiveness of a  
5 product in a given indication, to have these large  
6 safety studies may be more than is required and not  
7 only that, I'm not sure you would achieve your  
8 endpoint in doing that.

9 I think in certain focused situations where  
10 you have a permanent product or something, it may be  
11 unique to that product that you need to have a  
12 slightly different study design.

13 And I think histological evaluation, we  
14 actually do a lot of preclinical testing and follow  
15 ISO 10993 guidelines prior and during clinical trials  
16 and some of that information would be available in  
17 order to determine what is happening with that  
18 product in the tissue situation and how it's actually  
19 resorbing.

20 DR. LoCICERO: Ms. Rue, from a consumer's  
21 standpoint, longer trials, bigger trials.

22 MS. RUE: From everything I've heard, I  
23 don't know that the trials would vary as opposed to  
24 getting consumers to give feedback because, you know,  
25 we can study things forever but somebody's always

1 going to fall out of the time period of the study  
2 frame, and again I think it's up to a lot of consumer  
3 education and follow-up with them and giving them the  
4 tools they need to get the feedback and the results  
5 they have, both positive and negative.

6 DR. LoCICERO: Dr. Anderson.

7 DR. ANDERSON: I agree with you about  
8 feedback. I think that a very long study would  
9 create real problems in being lost to follow-up.

10 But I wanted to address the histological  
11 question. I think that asking a patient who's happy  
12 with a cosmetic result to submit to a facial biopsy  
13 is going to be problematic to say the least. The  
14 only way I can think to get around that, if it is  
15 something that the Panel or the FDA would feel is  
16 necessary, is that I suppose if they have test dose  
17 on another site in the body, a biopsy could be taken  
18 from the test site, but I really think histological  
19 evaluation of biopsy in a cosmetically improved area  
20 is something patients are just not going to do.

21 DR. LoCICERO: Dr. Newburger.

22 DR. NEWBURGER: I think histology is of  
23 tremendous import and certainly it would not be taken  
24 from a cosmetically important area but there's  
25 nothing wrong with placing the implant in volar

1 forearm or another cosmetically insignificant area  
2 and seeing what happens over the course of time, and  
3 I think it would be helpful going forward to see if  
4 there's a substantial foreign body reaction that's  
5 seen microscopically if there's a device where the  
6 effect persists for a very long period of time and it  
7 is theorized to cause new collagen to form, I'd want  
8 to know, is that collagen normal collagen or is, in  
9 fact, controlled scar formation? Clinically, I  
10 certainly see people who have been injected with  
11 certain devices for volumizing, if they come to me  
12 and I'm trying to inject through the same site,  
13 there's a resistance as though I am, in fact,  
14 injecting through scar tissue. So there is actually  
15 a change in the skin that's not normal. I think this  
16 is helpful particularly going down the road.

17           And another issue in terms of studying more  
18 comprehensively the basic science of the devices,  
19 before it goes into the clinic, is if you don't know  
20 really how the device is metabolized or handled,  
21 you're really at a disadvantage. There was, I can't  
22 remember which particular sponsor it was, but there  
23 was a device that was before Panel and the comment  
24 was made and it does not migrate. And my question  
25 was, how do you know? Because we don't see it. But

1 have you looked for it? Have you biopsied in  
2 animals, reaching the lymph nodes. Have you looked  
3 for it systematically? Well, no, we haven't. Then  
4 how can you make that statement? We need a lot more  
5 basic information I believe. So if we have that  
6 information, then the longer term studies might not  
7 be as necessary, and certainly fewer postmarket  
8 studies.

9 DR. LoCICERO: So I'll try to summarize  
10 this at this point. We seem to be in favor of larger  
11 studies. Longer studies are probably not going to be  
12 feasible because of loss to follow-up problems and  
13 that we have looked at the pros and cons of histology  
14 and there are significant pros and cons on either  
15 side. Is that a fair summary?

16 DR. OLDING: I would just like to add one  
17 thing about the histological. I forget the  
18 percentage of adverse reports on patients that had to  
19 go to the operating room to have the material  
20 removed, but that would seem like the key code of  
21 unlocking what the problem is, and I would hope that  
22 we make every effort to get those specimens from the  
23 companies.

24 DR. LoCICERO: Okay. Mr. Melkerson --  
25 we've got one more.

1 DR. McGRATH: Just before we close this,  
2 your summation, I think I agree with but I think that  
3 we agreed that perhaps larger studies to detect  
4 adverse events are universally considered useful but  
5 we're not going to be able to expand them to the size  
6 where they're gross epidemiologic studies. That's  
7 not what we're talking about. There I think we're  
8 still going to be relying on adverse event reporting.  
9 So we don't want to have embarrassingly small studies  
10 of 100 patients, but I don't think we're going to be  
11 able to do great global, you know, population studies  
12 to get at these rare events. That's going to have to  
13 come through something else besides the studies.

14 And in terms of the longer duration, you  
15 said, no, because we're recognizing the problems but  
16 I don't think we saying that. I think we're saying  
17 for the non-absorbables, we're possibly saying yes,  
18 at least some of us are, that we'd like to see them  
19 longer but not necessarily for some of the absorbable  
20 ones that are gone quickly.

21 DR. LoCICERO: So there's going to be a  
22 difference in effectiveness and safety in terms of  
23 the number we look at. Effectiveness is  
24 biostatistical and safety is really by incident, and  
25 that in some cases, the studies may need to be longer

1 because of the implant duration. Is that now a fair  
2 summary?

3 (No response.)

4 DR. LoCICERO: Mr. Melkerson, does that  
5 satisfy -- one more.

6 DR. LI: Just as an add on, on that, we  
7 have to be a little bit careful about that because  
8 polylactic acid is clearly, I think we'd all put that  
9 on the resorbable category but we know in other  
10 applications there is late immune responses three to  
11 five years after implantation for polylactic acid.  
12 So this is something that is, in fact, supposed to  
13 resorb and to get back to Dr. Newburger's issue, I  
14 don't really feel we know exactly what happens to any  
15 of these materials over time. We've seen the volume  
16 that may disappear, for instance, if it's a volume  
17 filler, but we don't know how much is left or where  
18 it went. Polyethylene particles generated in a joint  
19 replacement are found all over the body, in the lymph  
20 nodes, in the lungs. There's no stopping the  
21 particles when they get down to be less than a micron  
22 in size. And we have no idea where these particles  
23 are ending up that we're putting in, but you might  
24 say that we're putting in 25 to 50 micron particles  
25 but if they resorb down to nothing, somewhere along

1 that size range, they're in a size that's very  
2 biologically active and mobile, and we just really  
3 don't know where they're going.

4 Now, as it turns out, maybe they aren't  
5 doing any harm but that doesn't mean that we actually  
6 don't know where they're going or what they're doing.

7 DR. LoCICERO: And I guess the other thing  
8 is that for effectiveness, we're pretty clear but it  
9 rests with industry to make that proof, but that in  
10 the safety area that we need to put some of the  
11 obligation on the consumer to be responsible and  
12 report. Does this answer your question?

13 MR. MELKERSON: It's sufficient. Thank  
14 you.

15 DR. DANG: Thank you. So the next couple  
16 of questions continue to this query about clinical  
17 study design. Do the inclusion/exclusion criteria  
18 utilized in these studies allow for collection of  
19 safety and effectiveness data that are consistent  
20 with and predictive of your experience with dermal  
21 fillers in the post-market setting, such as personal  
22 practice, published literature and the FDA presented  
23 data from earlier this morning?

24 Does the exclusion from clinical study  
25 participation of subjects who have had recent

1 cosmetic procedures, such as other dermal fillers,  
2 laser and chemical treatments, botulinum toxin type  
3 A, and so forth, impact the manufacturer's ability to  
4 collect complete safety information?

5 DR. LoCICERO: We need some information  
6 from those who have done investigations on these  
7 products. Does anybody want to -- Dr. Burke.

8 DR. BURKE: Well, I think the criteria as  
9 stated in the studies were fine and I think that I  
10 agree with that with my clinical practice, and I  
11 think for the next question, there's no way you would  
12 study one filler on top of another. I mean you  
13 certainly can find patients that have not had fillers  
14 but already we're talking about all of these gray  
15 zones, and to put one filler with another, although  
16 that will happen in the general population, you can't  
17 do that as the start for your study.

18 DR. LoCICERO: Other comments?

19 DR. McGRATH: I have a question of that  
20 second question. I guess I would ask that's  
21 certainly true if you're using other fillers in the  
22 same area but, for example, if you're using Botox for  
23 another application, how would that have any impact  
24 if it were not Botox in the same area that you're  
25 evaluating?

1 DR. BURKE: Well, I guess you could say you  
2 could have had a filler that we know from clinical  
3 experience, like Zyderm type of filler, if you had  
4 that 10 years ago or even 4 years ago, it would be  
5 okay, but you certainly would not want any of the  
6 longer term fillers that we are talking about as part  
7 of the fillers in the population of fillers today. I  
8 mean you wouldn't want to have a more permanent  
9 filler within say four or five years, and you can  
10 find patients that haven't had that.

11 DR. OLDING: If I could just make another  
12 comment. It may also affect the evaluation of the  
13 overall global aesthetic value at the end. That  
14 could be very confusing I think ultimately.

15 DR. LoCICERO: So you would have to limit  
16 it to less than 40 procedures before -- yes.

17 DR. ANDERSON: Yes, that was my point as  
18 well. If you're looking at the face and you're  
19 saying how satisfied you are with it, if you just had  
20 a filler and perhaps some Botox, your overall  
21 satisfaction may be higher as a result of the Botox,  
22 too.

23 But another question and the scientists  
24 here would need to answer is, is if we don't allow  
25 them to use multiple agents, are we going to know if

1 there's an interaction?

2 DR. LoCICERO: Anybody want to tackle that  
3 one? Dr. Newburger.

4 DR. NEWBURGER: One of the individuals who  
5 wrote a letter to the Panel suggested that patients  
6 who have fillers be given a registration such as we  
7 would have with Accutane and the eye pledge program  
8 and that way if adverse events were reported later,  
9 you could see how many of these were from multiple  
10 fillers. In my own practice, the few patients who  
11 have had persistent swelling and inflammation, just  
12 about every single one of them has been, and I'm  
13 taking a further history, and they all have complete  
14 histories in terms of previous procedures, will seem  
15 to recollect, oh, you know, I had silicone injections  
16 20 years ago or 25 years ago around there, and so of  
17 all the previously injected devices, that does seem  
18 to have a common denominator in the ones I see.

19 By the way, in terms of the inclusion and  
20 the exclusion criteria, in the studies, when I get  
21 the consent from the rheumatologist I routinely use  
22 this in people with connective tissue diseases, who  
23 don't have active disease, we've never had any  
24 problems and that's several hundred at this point,  
25 and also do inject it on patients with anticoagulant

1 therapy, with their desire to have it done  
2 understanding that they will bruise more extensively,  
3 and we've had no issues whatsoever.

4 DR. LoCICERO: Other comments?  
5 Mr. Melkerson.

6 MR. MELKERSON: Just a slight variation to  
7 this question. We've talked about other fillers, but  
8 one of the things I thought I've heard in previous  
9 discussions was repeated application of the same  
10 product for the same area and how does that impact  
11 your discussion at this point?

12 DR. LoCICERO: Dr. Newburger.

13 DR. NEWBURGER: One of the issues that  
14 we've encountered, and we do use fillers off-label,  
15 is when people have come in who have had, for  
16 example, in the lip area, where there is a very, very  
17 thin dermis, that had multiple injections along the  
18 lip margins and in the pulp of the lip, it's very  
19 difficult. You will tend to have more lumps develop  
20 if you're not careful because of the scarring which  
21 develops in that area after multiple procedures.  
22 Certainly you have a larger area with which to  
23 introduce your needle when you're dealing with a  
24 larger anatomic area but scarring can change the  
25 picture over time.

1 DR. McGRATH: I think your only real answer  
2 to that question is you're going to have to sort out  
3 the patients that have had repeat treatments and who  
4 haven't because I thought about that when we were  
5 talking about the question of longer duration of  
6 studies. I mean a lot of patients will go back and  
7 have the product supplemented at intervals. And so  
8 if you follow them for five years, you're not going  
9 to have many people say, I'll never have it again so  
10 I can go through the five years.

11 So I think it's just going to have to be  
12 sorted into two groups, one for the persons who have  
13 had it, you know, only once, and another for people  
14 who have had repeated, multiple times and I think  
15 those are two different endpoints. I don't see how  
16 frankly that would be avoidable.

17 DR. LoCICERO: I think we've kind of  
18 discussed the pros and cons of both the inclusion and  
19 exclusion criteria. Is this sufficient for the FDA?

20 MR. MELKERSON: Yes. Thank you.

21 DR. LoCICERO: Okay.

22 DR. DANG: Moving along, are current  
23 methods for determining sensitization potential  
24 adequate, such as preclinical study methods, an  
25 animal study or clinical study methods, such as by

1 evaluating adverse events after multiple injections?  
2 And as individuals have the potential to receive  
3 numerous injections of dermal fillers within a  
4 lifetime, should the study methods for determining  
5 sensitization potential be designed to be more  
6 reflective of the frequency of dermal filler  
7 injection in actual clinical use?

8 DR. LoCICERO: Comments?

9 DR. OLDING: Could we have somebody explain  
10 to us what the Magnusson-Kligman maximization test  
11 is, since I tried to look it up and couldn't find it?

12 DR. DANG: I think that's also known as the  
13 Guinea Pig Sensitization Test. Is that clear enough  
14 or --

15 DR. BIGBY: As what?

16 DR. DANG: Guinea Pig Sensitization Test,  
17 where the guinea pig would be injected with a liquid  
18 extract or a liquid form of the material and then  
19 they'll be introduced to the device or subject  
20 material again in a patch, an inclusive patch or  
21 another injection a couple of months later to see if  
22 there's an immune response.

23 DR. LoCICERO: Dr. Newburger has a lot of  
24 experience with repeat injection. Maybe she has  
25 something to say.

1 DR. NEWBURGER: I think that the current  
2 methods are adequate. With the early exception of  
3 the product that had a bovine origin, to have a true  
4 allergic reaction is, you know, certainly very rare.  
5 We've not seen one in our practice and in the  
6 community, I've not heard of any true allergic  
7 reactions. I think the current methods are fine  
8 anecdotally.

9 DR. LoCICERO: Dr. Li.

10 DR. LI: I'm not sure how we answer this  
11 question because I always seem to be kind going  
12 around in a circle because if we don't know the  
13 histology of what's happening in the patient, and we  
14 do some animal tests, a guinea pig or, you know,  
15 patch model on the back of a rat or something like  
16 that, we're going to get some tissue response from  
17 that animal but unless we know what the tissue  
18 response is on the person, I'm not actually sure how  
19 one set of data affects the other.

20 So I think again if you can tell me that  
21 the histology in the guinea pig looks like the  
22 histology somewhere at the implantation site, then I  
23 say great. Let's do that but if the histology is  
24 completely different, I have no idea what that means.

25 DR. LoCICERO: Do we have some idea of

1 those who wind up getting dermal filler, what the  
2 average number of times they get the filler over  
3 several years?

4 DR. OLDING: For me, it depends entirely on  
5 the filler and on the age of the patient but for many  
6 of the hyaluronic acid patients, my patients come in  
7 every six months. So rather than go from empty to  
8 full, they go partially empty and then back and  
9 forth. So they oscillate at a more even pattern.  
10 But I mean I've had patients since we first approved  
11 Restylane that have, for example, just one of the  
12 earliest, I think the earliest hyaluronic that was  
13 approved, that have been getting that the entire  
14 time.

15 DR. LoCICERO: So we probably would say  
16 that it's already happening in the real world?

17 DR. OLDING: Certainly. Absolutely.

18 DR. LoCICERO: I'm not sure we can give you  
19 much further guidance, Mr. Melkerson. Is this  
20 satisfactory for this question?

21 DR. MELKERSON: Yes, I'll just make one  
22 comment that a lot of the work with the products is  
23 going to be material source dependent because  
24 allergic reaction are not just bovine. It could be  
25 avian for some of the hyaluronic acid based products,

1 but a lot of them have gone to bacterial sourcing.  
2 So those questions have been going away with time.

3 DR. DANG: This is a question regarding  
4 post-approval studies. If a post-approval study is  
5 recommended for current indications for use, please  
6 suggest the appropriate study design, comparison  
7 group, length of follow-up, validated assessment  
8 method and safety and/or effectiveness endpoints.

9 And I believe your responses were yes  
10 earlier.

11 DR. LoCICERO: No, it was no.

12 DR. DANG: It was no. Okay. Then never  
13 mind the second part of this question.

14 DR. LoCICERO: Okay. So we're just going  
15 to answer the first part of that. We've been talking  
16 about how we need to establish something that's the  
17 same for everybody, at least has the same criteria.  
18 Here's our opportunity to say what those criteria  
19 should be at last in broad terms. So maybe Dr. Li  
20 can start.

21 DR. LI: Thank you. Well, I'm caught right  
22 off the bat. I'm not exactly sure how to do this  
23 because it seems as if it's not clinically sensible  
24 to set an amount injected and make that across the  
25 board for every patient because that kind of takes

1 the effectiveness future out of it. But conversely,  
2 if you go to the same amount of effectiveness, then  
3 you've got some other variables going on. So I'm a  
4 little bit trapped. Maybe Dr. Gooley could help me  
5 out of that, help me out of that trap.

6 But that aside, it seems like, you know, it  
7 seems like the effectiveness variables are well  
8 discussed and well at hand although there's some  
9 discussion over some details but on the safety side,  
10 I agree with Dr. Gooley, that there seems to be some  
11 other factors that could be included for evaluation.

12 DR. LoCICERO: Let me just get some  
13 clarification from FDA here. If I recall correctly,  
14 most of these products were approved with conditions  
15 requiring a postmarket study or about the safety or  
16 about those patients IV, V and VI who were not  
17 included.

18 DR. MELKERSON: I'll try and dance around  
19 this a little bit, but for each particular product,  
20 there are, from what I'm hearing around the table,  
21 there are short-term and long-term questions, when  
22 you're saying a product is relatively safe and effect  
23 to go to market, are there any long-term issues, and  
24 I think I've heard, training as being an issue?  
25 Post-approval studies can address whatever questions

1 do come up with that particular product. So issues  
2 of general population, in other words, it was studied  
3 at Premier Centers. Well, now it's being used in the  
4 general surgical community. Is that representative?  
5 Those are types of questions that would be the basis  
6 of post-approval studies, and I'm going to actually  
7 look over my shoulder and defer to our OSB folks to  
8 give other ideas or comments that they would make on  
9 post-approval studies.

10 MS. SHOAIABI: I actually lost you in the  
11 middle of the question. If you could repeat the  
12 question, I would appreciate that.

13 DR. LOCICERO: The question was  
14 specifically the products that have been approved and  
15 required postmarket studies, were either about the  
16 inclusion criteria or about questions of safety.

17 MS. SHOAIABI: The objective of all of the  
18 post-approval studies that have been completed, three  
19 post-approval studies have been completed for eight  
20 products, and the objective of all of those was  
21 safety, safety issues and as I mentioned, even  
22 optimal aesthetic results data were not collected for  
23 two of those studies. So the objective was only  
24 safety and with emphasis on the primary adverse  
25 events that was listed.

1 DR. LoCICERO: Great. Thank you. So --

2 DR. LI: First let me apologize. I  
3 answered the wrong question. I'm sorry. I had a  
4 question though, before you asked your question, a  
5 question for Dr. Olding. If your patients are  
6 getting essentially another injection every six  
7 months, for instance for the Restylane, then how  
8 would you interpret the results of following any  
9 patient for several years because every six months  
10 they're getting another injection? So I'm not quite  
11 sure what a long-term follow-up tells us if they're  
12 getting a new injection every six months.

13 DR. OLDING: I'm not certain exactly what  
14 you're getting at. Are you asking me how do we  
15 evaluate the effectiveness of a product which is  
16 reinjected on a periodic basis every six months for a  
17 long time? Or are you asking --

18 DR. LI: Yes, that would be one part of the  
19 question. The other question is, in some sense, is  
20 it really a long-term study if you're giving a new  
21 injection every six months?

22 DR. OLDING: Well, it's not meant to be a  
23 long-term study. It doesn't --

24 DR. LI: I'm not questioning your practice.  
25 I'm asking in the spirit of designing a clinical

1 study but if in real life, you're going to give a  
2 patient an injection every six months, maybe somebody  
3 else can help me out making my question clearer.

4 DR. OLDING: Well, there are two scenarios.  
5 One where you're injected once. You can evaluate  
6 that patient long term. The second one, and I think  
7 Dr. Newburger alluded to that, there can be changes  
8 that can occur from the periodic injections that are  
9 in and of themselves different than what would happen  
10 with one injection. So it may not be a long-term  
11 study in giving it for three years and then stopping  
12 and looking at something, but it certainly reflects  
13 the clinical practice and therefore looking at those  
14 patients long term, I think is appropriate.

15 DR. LoCICERO: Dr. Burke.

16 DR. BURKE: Well, I think the whole point  
17 of a long-term study, first of all, that would only  
18 be indicated for things that we know are not  
19 absorbable in a relatively short time, meaning months  
20 or maybe a year. So things that are going to not be  
21 reabsorbed should be looked at I think longer term,  
22 and the whole point of the long term study is that we  
23 don't see some small, some effects that are not very  
24 frequent but may, in fact, be serious that might come  
25 later. So it is worth following some population of

1 people that have had something injected that is non-  
2 absorbable for some time. And even if you're putting  
3 it in again, you want more people to look for these  
4 infrequent adverse effects and you want longer times  
5 just for the non-absorbable implants.

6 DR. LoCICERO: I'm going to relate kind of  
7 an interesting story and ask a question of Ms. Rue.  
8 We have had patients who've had a valve implant and  
9 three years later they have no clue what they had  
10 done. They don't even know they got a valve in  
11 place. So is there any way that we can expect our  
12 consumers to know what they had injected three years  
13 ago after they had multiple injections?

14 MS. RUE: Well, I think the group that this  
15 population is dealing with is a little bit more  
16 involved in what they're doing because it's elective  
17 and it's something they're doing to improve their  
18 appearance and it's a self-esteem issue, but also  
19 it's not so much what they had done. It's where they  
20 had it done and the fact that they had it done and  
21 what their outcomes were.

22 DR. LoCICERO: Dr. Newburger.

23 DR. NEWBURGER: Our patients don't have to  
24 deal with the amnesia post-general anesthesia and  
25 perhaps they'll have clearer recall.

1 DR. LoCICERO: We're doing them under local  
2 now. Kidding. Not quite.

3 DR. NEWBURGER: Next year.

4 DR. LoCICERO: Not quite. But, no, do your  
5 patients, can they tell you I had this product six  
6 months ago and that product two years ago?

7 DR. NEWBURGER: Except for the ones that  
8 forgot they had silicone 20 years ago until they got  
9 a reaction, generally they're fairly accurate. I  
10 like the way this filler holds up better than the  
11 last one. So let's go with this one. They remember.

12 DR. LoCICERO: Dr. Walker.

13 DR. WALKER: Yeah, I would agree that the  
14 patients for the most part seem to be quite familiar  
15 with the product that they use and can report that,  
16 but as the number of products increases, I think  
17 that's going to be a harder question to answer down  
18 the road because the names are very similar, they  
19 know they had a hyaluronic acid filler. They may not  
20 be 100 percent sure which one. I think there could  
21 be some confusion in the future because of the number  
22 of products. I think even five years ago, this was  
23 probably a question we would have to even remotely  
24 address. But now going into the future, that's  
25 probably a different story.

1 DR. BURKE: But I think first of all, this  
2 population of patients are intelligent and very  
3 concerned as you just said and we all have to keep  
4 our medical records five or seven years. I mean I  
5 always like to know what a patient had before, and  
6 before I inject them with something, just for  
7 completeness, I have them call the doctor to see what  
8 did they have, but again, there haven't been that  
9 many things available in America until now. So we  
10 were pretty sure we knew what the person had but I  
11 think that at least for the past five years, they  
12 could always get their records. They could always  
13 just make a phone call and find what they had the  
14 last time or in that period of time.

15 DR. LoCICERO: We're sort at a watershed  
16 here. The FDA and the Panel both have sort of beaten  
17 up these postmarket studies a lot, and now we have no  
18 recommendations. We need to come up with something  
19 that will help out here. Yes, Ms. Rue.

20 MS. RUE: Well, I think we heard Dr. Gold  
21 and several others talk about the consortium and  
22 getting the group together, and I think, and since  
23 I'm not voting, I can't personally recommend it but I  
24 think it's something that the Panel needs to consider  
25 and have the groups that are very actively involved

1 in this from different sides and come up with the  
2 recommendations for this because they have a lot of  
3 the information and are working with a lot of the  
4 population groups, and that would be a great place to  
5 start.

6 DR. LoCICERO: We're depending a lot on a  
7 consensus panel to help us out here, and the  
8 development of a guidance document, and we don't have  
9 a sponsor in front of us that is going to tell us,  
10 oh, yeah, we're certainly going to do that and then,  
11 you know, they'll promise anything to get approval.

12 I'm concerned about the fact that we're  
13 going to come up with something that won't happen.  
14 We'll make a recommendation that's not going to  
15 happen. So I just want -- our groups are gone  
16 unfortunately but I think after this, we're going to  
17 need to be sure that those societies who propose this  
18 are willing to go along with a consensus group.

19 So, Mr. Halpin.

20 MR. HALPIN: I just wanted to comment that  
21 it seems to me that we're being asked if there is a  
22 one size fits all post-approval study design. I'm  
23 not sure that I can find one that I would recommend.  
24 I think some of these products are very different  
25 from each other and have very different unanswered

1 questions when they get to the point of approval.  
2 And so I think it may be beyond the ability of  
3 sitting down in one meeting to actually come up with  
4 a one size fits all design, and it may be better on a  
5 case-by-case basis as you're seeing new products to  
6 try and establish what would be a question you can't  
7 answer in a pre-approval study very easily but is an  
8 important question to answer. That may be part of  
9 what we're struggling with.

10 DR. LoCICERO: Dr. Gooley.

11 DR. GOOLEY: Yeah, I completely agree with  
12 that and I think every effort should be made, and in  
13 some cases it's probably not doable, but I agree with  
14 Dr. Zuckerman who spoke earlier. I think every  
15 effort should be made to address all these questions  
16 in the premarket study. Now, you don't know what  
17 issues might come up but I think the premarket, this  
18 doesn't directly address the question, but I think  
19 the premarket study should be designed to try and  
20 minimize the questions that might come up in post-  
21 approval studies.

22 DR. LoCICERO: Mr. Melkerson.

23 MR. MELKERSON: A representative from our  
24 EPI group would like to ask a question of the Panel  
25 and maybe help the discussion.

1 MS. MARINAC-DABIC: My name is Danica  
2 Marinac-Dabic. I am the Chief of Epidemiology which  
3 is the unit that is in charge of post-approval  
4 studies. I just would like to ask a couple of  
5 questions for clarification. As the FDA would have  
6 legal authority to request the post approval studies  
7 to address safety, continuing safety and  
8 effectiveness and reliability of the approved  
9 products.

10 Now, I understand that you do not recommend  
11 the post-approval studies generally speaking as I  
12 understand from your discussion if the specific group  
13 representation of subgroups is in premarket studies.

14 I would like to get the Panel opinion  
15 about, and with your understanding, every PMA that  
16 comes to the FDA is going to be evaluated based on  
17 the data that come from that PMA, meaning that if the  
18 data are problematic or if there are some issues with  
19 the representations, we will identify the specific  
20 questions that ought to be addressed postmarket.

21 So in that spirit, I would like to ask you,  
22 and the reason for my question is because of the  
23 history and the Panel recommendations in the past,  
24 that those post-approval studies should be looking  
25 only into safety issues. If, for example, there are

1 specific issues for which we believe postmarket  
2 studies are needed, would you still recommend that  
3 both effectiveness and safety would be done in those  
4 studies in case the premarket data have some  
5 limitations? That's the key issue for our  
6 epidemiology program in order to make sure that we  
7 are not bound only on the safety or effectiveness or  
8 both.

9 DR. LoCICERO: I'm going to take the  
10 prerogative of the Chair here. The Panels that I've  
11 been associated with, and I think the spirit of the  
12 Panel is to review your analysis, review the data,  
13 deliberate and make a recommendation based upon our  
14 interpretation and what we feel is appropriate and  
15 that if that includes effectiveness, that will be  
16 made. If it includes safety, those will be made.

17 MS. MARINAC-DABIC: Thank you very much.

18 DR. LoCICERO: Okay. So if I were a chair  
19 of a hospital committee, I would now table this and  
20 form a subcommittee and I'm afraid that's where we  
21 stand at this point. The -- question, really this is  
22 something that a consensus really needs to help with  
23 but before we make a final recommendation, who should  
24 sit at that table? Who should be part of this  
25 consensus conference? Speak up.

1 MS. RUE: Industry and consumer.

2 DR. LoCICERO: Industry, consumer.

3 MS. RUE: Industry and consumer for sure.

4 DR. LoCICERO: Thank you, Mr. Rue.

5 MR. HALPIN: Academia and the FDA.

6 DR. LoCICERO: Okay. Any others? So

7 academia meaning universities?

8 MR. HALPIN: Universities or societies,  
9 academic societies that have an interest in this  
10 area. So a lot of the folks who were talking today.

11 DR. LoCICERO: Okay. Well, that's  
12 different because that maybe collection of  
13 practitioners who may or may not be very academic but  
14 may also have the patient as their most important  
15 interest, but they may not be very academic but they  
16 may be the biggest users. Am I stating that  
17 correctly, everybody?

18 MR. HALPIN: And some other examples I've  
19 seen, there have been requests from the FDA, not  
20 saying that has to be the case in this situation,  
21 where they're actually asking for input on existing  
22 guidance documents that may be out of date, and  
23 organizations have gotten together to actually go  
24 through a process to meet, have sub-working groups  
25 and actually update or develop those guidance

1 documents. So that may be an option.

2 DR. LoCICERO: I think what we're saying,  
3 Mr. Melkerson, is that this consensus panel which  
4 would give you some further guidance in this area is  
5 going to need to take not only the traditional FDA  
6 components of sponsor, academic, investigator and FDA  
7 but also the end user who is the person who is on the  
8 syringe side of the needle, as I think we've heard  
9 earlier, and the person at the other end of the  
10 needle, the consumer. So those groups would need to  
11 be represented to give you the kind of information  
12 you really need.

13 Is this sufficient for this question?

14 MR. MELKERSON: That's adequate. Thank  
15 you.

16 DR. DANG: Another couple of related  
17 questions. Injection into nasolabial folds has been  
18 considered representative of dermal filler use to  
19 correct moderate to severe wrinkles. Can the use of  
20 dermal fillers for augmentation of tissue volume and  
21 for recontouring of tissues, such as non-surgical  
22 rhinoplasty, lip augmentation, under eye injection  
23 and hand volume restoration, be considered an  
24 extension of filler use for wrinkle correction? What  
25 areas of the face would be considered as having

1 tissue structure and physiology that are dissimilar  
2 to nasolabial folds?

3           And a further follow-up, can the safety and  
4 effectiveness data collected from randomized,  
5 controlled clinical trials that studied the injection  
6 of dermal fillers into nasolabial folds be considered  
7 predictive of their safety and effectiveness for any  
8 of the new indications that have been discussed  
9 today? Or, are such uses dissimilar to use in  
10 nasolabial folds such that they would warrant new  
11 clinical studies?

12           DR. LoCICERO: Okay. So this is the  
13 question back at us I think in terms of substantial  
14 equivalency of body parts. Dr. Li, I know that you  
15 have a lot of experience with hips, but there are  
16 other joints that were involved at a later time.  
17 Were those areas considered equivalent or were there  
18 specific new areas that were looked at? Can you give  
19 us some guidance in developing something here?

20           DR. LI: Well, depending on the device and  
21 the material, it could be location sensitive. So I  
22 don't think there's a universal answer to that  
23 question which means I think that you, not knowing  
24 anything else, I think you have to consider using a  
25 device or a material in a different site a

1 potentially dissimilar reaction to that device. In  
2 fact, we have some examples of these in these off-  
3 label uses where for instance the use of some of  
4 these devices in the periorbital area doesn't really  
5 seem to work that well. And it's not quite clear if  
6 it's a tissue response or something else.

7           So I feel that we know so little actually  
8 about the mechanism of action of these devices that I  
9 think it would be a mistake to consider use in a  
10 different area other than nasolabial fold as being a  
11 similar case. So I think in every case, I would want  
12 some study to be done.

13           Did I answer your question?

14           DR. LoCICERO: Yes. Dr. Newburger.

15           DR. NEWBURGER: I agree with Dr. Li  
16 completely. I don't think it's analogous placing a  
17 filler in the dermis to placing it just at the  
18 periosteum or on top of cartilage and I think there  
19 do have to be separate studies for these areas, and  
20 there's maybe one or two folds that I might consider  
21 similar but in general, the vast majority of these  
22 are not the same and certainly hand volume  
23 restoration is an entirely different circumstance and  
24 that needs its own study.

25           DR. LI: I agree.

1 DR. LoCICERO: Dr. McGrath, any comment?

2 DR. McGRATH: No. For that question, I  
3 agree.

4 DR. LoCICERO: Dr. Bigby.

5 DR. BIGBY: I agree with what was said in  
6 that the answer to the question is no. However,  
7 there is a large body of use of these products in  
8 those other areas and not much of a signal has been  
9 detected in terms of adverse events occurring.

10 DR. LoCICERO: Do you think that's due to  
11 the fact that there are very few adverse events or  
12 that people are unwilling to report a non-indicated  
13 use adverse event?

14 DR. BIGBY: I think the former because, you  
15 know, just think about this. From the physician's  
16 perspective, I actually was involved in a study of  
17 adverse skin reactions to drugs and, you know, if a  
18 drug causes a reaction and as a physician you get  
19 called with a rash for every 20 patients, you're  
20 going to stop giving that drug. And the same thing I  
21 think is true for injections. If physicians are  
22 having a lot of adverse reactions, you know, and  
23 people calling them and being unhappy with results  
24 and suing them, I think they wouldn't be doing so  
25 many of these procedures.

1 DR. LoCICERO: Other comments? I think  
2 what we're saying is that there really is no way to  
3 translate the data to another area, that we can't  
4 determine at least in a short-time period that  
5 there's any substantially equivalent area in the  
6 body.

7 MR. MELKERSON: May I push my question back  
8 on you a little bit further? We heard that some of  
9 these things are dissimilar. Kind of the corollary  
10 to that, are there things that are similar like when  
11 you're saying cheek augmentation or other chin  
12 augmentation? Are there things that could  
13 potentially be grouped together based on where  
14 they're being implanted or the type of material being  
15 used? Kind of think of it from that perspective.

16 DR. LoCICERO: Yeah, Dr. McGrath.

17 DR. McGRATH: I don't know if you can group  
18 them by anatomy as much as you can group them by what  
19 you're looking at. You know, if you really look at  
20 the product, I think that a lot of the questions  
21 about the product per se are going to be the same no  
22 matter where it's used. So what you have to sort out  
23 is what those things are that you don't need to look  
24 at in new studies, allergic reactions.

25 But on the other hand, the things that

1 aren't going to be translatable from one place to  
2 another will be the effect on other anatomic  
3 structures, the technique that the person uses there.  
4 So I think that when you craft the pieces that look  
5 at it, that's what you're going to be focusing on.

6           So I wouldn't separate it by saying this  
7 geography is the same. I'd separate it by what do  
8 you need to look at and what don't you need to look  
9 at because you don't need to look at everything from  
10 scratch just because it translates to a new spot.

11           DR. LoCICERO: So, Mr. Halpin, if the FDA  
12 were to say that it were a little easier to get  
13 another indication, that you weren't going to have to  
14 look specifically at allergic reactions, et cetera,  
15 that you could change your form, would that be  
16 something that industry would be more apt to go for  
17 additional indications?

18           MR. HALPIN: I think that industry would  
19 certainly be open to doing that, but I think that  
20 part of what industry would like to understand is  
21 what are the hurdles required to get a new  
22 indication. I think that leveraging existing data in  
23 any way that we can is very helpful in terms of not  
24 recreating the wheel or having to do something again  
25 from scratch. So I think they would be interested in

1 that, and in terms of trying to use as appropriate,  
2 the information they already do know about the  
3 product.

4 DR. LoCICERO: Yes, Dr. Li.

5 DR. LI: I just want to throw in, you know,  
6 get back on my soapbox again for basic research. You  
7 know, part of the difficulty here is we don't have  
8 basic information. If we knew what the tissue  
9 response was in the cheek versus some other area and  
10 the tissue response we know was the same, given a  
11 certain set of conditions then, in fact, you would  
12 not have to test in every single place. But in the  
13 absence of knowing these basic science details, I  
14 don't know how else to be safe other than to just  
15 retest it each time so that the carrot for doing the  
16 research is a rather big one, if you can ever get to  
17 that endpoint.

18 DR. LoCICERO: Dr. Newburger.

19 DR. NEWBURGER: I think that the pre-jowl  
20 sulcus is going to behave in a similar fashion to the  
21 nasolabial fold.

22 UNIDENTIFIED SPEAKER: Which --

23 DR. NEWBURGER: I think that this area is  
24 going to behave similarly to this area in terms of  
25 what caused those folds in the first place, and I

1 think it would be analogous in those areas. I don't  
2 think that they're analogous to the tear trough. I  
3 don't think that they're analogous to the perioral  
4 area and I don't think that they're analogous to the  
5 malar or sub-malar areas.

6 DR. LoCICERO: Other comments?

7 (No response.)

8 DR. LoCICERO: I don't think we can go any  
9 further on this question, Mr. Melkerson.

10 MR. MELKERSON: Thank you.

11 DR. DANG: The next questions has several  
12 parts. I'm going to read through all the parts and I  
13 will leave it to the Panel to decide which parts they  
14 would like to answer first.

15 In design of clinical studies for these new  
16 indications for tissue augmentation and recontouring,  
17 what safety and effectiveness endpoints, sort of in  
18 general I guess, would you recommend should be  
19 considered?

20 What are some clinical issues, both short  
21 and long term, which would need to be addressed?  
22 Some examples might be related to device migration,  
23 local tissue response and chronic inflammatory  
24 response.

25 What would be the most appropriate control?

1 Should FDA consider controls that are accepted as  
2 current standard of care? A specific example would  
3 be for lip augmentation, what treatment could be  
4 considered as standard of care and thus possibly  
5 considered as a possible control?

6 And when there is a potential for a larger  
7 volume and/or repeated objections of dermal fillers,  
8 over a relatively short period of time, such as less  
9 than six months, would acute and long-term systemic  
10 toxicity studies be warranted?

11 DR. LoCICERO: Okay. This is kind of a  
12 recapitulation of the day here. In terms of safety  
13 and effectiveness endpoints, does anybody have  
14 anything additional to add to what we've discussed so  
15 far? Dr. McGrath.

16 DR. McGRATH: Only that I think that this  
17 is a very complex question because I think there's  
18 going to be, each of those sub-questions will have an  
19 answer for each of the procedures. So let's just  
20 take hand volume restoration. I mean your safety and  
21 effective endpoints may be very different because  
22 you're going to be talking about, you know, motion  
23 and tendons and all different types of different  
24 pain, longevity because of the high degree of motion  
25 that you don't have in other parts of the body. So

1 you're going to be having very different both  
2 efficacy and safety endpoints. And I think for each  
3 of those things, you could do it. So I would find  
4 this a very difficult question to answer globally.

5 DR. LoCICERO: Mr. Melkerson.

6 MR. MELKERSON: To help foster the  
7 discussion, since it's difficult to answer globally,  
8 maybe picking some of the higher volume indications  
9 that have been reported or considered with  
10 augmentation, hand, cheek augmentation, maybe talking  
11 each one as a separate entity and is that a way to  
12 kind of walk through these questions.

13 DR. LoCICERO: Do we have another day?  
14 It's going to be a little bit difficult for us to go  
15 through all of these, but Dr. Burke, you can start.

16 DR. BURKE: I was just going to say not  
17 only are these different anatomic sites, different  
18 volumes of material being put in, but these special  
19 things, the lips, the hands, are incredibly technique  
20 dependent and, you know, no one would just go in and  
21 do it without very careful thought and anyone going  
22 in to do it would usually try to find a colleague who  
23 would have already done it.

24 And these will become more and more common  
25 but they're not the most common ways of using

1 implants in large, large populations at this time.

2 DR. LoCICERO: Okay. Dr. Olding.

3 DR. OLDING: Just to make a global -- I do  
4 want to make a global comment about those. All of  
5 them except maybe the hand volume restoration but  
6 probably it, too. It's more than just correcting a  
7 fold or a wrinkle. It really is I think more a  
8 global aesthetic appearance, and so I think the  
9 patient satisfaction scales are going to be very  
10 important in looking at these on its individual.  
11 That's why Dr. Pusic's material would certainly be of  
12 value in evaluating these more so than nasolabial  
13 folds and marionette lines.

14 DR. BURKE: But all of this having been  
15 said, there are areas that are incredibly sensitive  
16 like the under eye area, the base of the nose and the  
17 glabellar area. These are areas where there are  
18 potential serious side effects. So those again  
19 should be evaluated very differently, and technique  
20 and the type of filler are very important, and it's  
21 very variable the results you get with different  
22 fillers, even different molecular weights, different  
23 viscosities of the same filler.

24 DR. LoCICERO: Mr. Melkerson.

25 MR. MELKERSON: Your indulgence a little

1 further, and maybe these are more directed questions.  
2 Lip augmentation, one of the things that a lot of the  
3 wrinkle fillers to date have not looked at is, for  
4 lack of a better term I'll use function, but for  
5 other products where you're injecting materials, we  
6 look at, is there any impact on nerves sensitization?  
7 In other words, if somebody had a lip augmentation  
8 and you were unable to detect hot or cold, you  
9 actually may have further adverse events associated  
10 with it. If you're talking the hand, back of the  
11 hand, if you have loss of sensation in the hand, you  
12 have loss of function.

13 Are those the type of things that should be  
14 incorporated into these types of studies or maybe the  
15 general, the broad breast question is are there  
16 things that are unique to some of these locations  
17 that should be augmented in addition to having a  
18 global assessment? Are there things that we should  
19 be looking at, study designs that are looking more  
20 at, is there a functional implication to their use,  
21 you know, because I've heard more mobility, more  
22 issues of adverse events? In other words, are there  
23 particular things we should be looking at? And I've  
24 heard some of those.

25 DR. LoCICERO: So this brings up an

1 interesting issue. I read a lot of journals but I'm  
2 not really reading the aesthetic journals at this  
3 point. So if we are going to consider areas like  
4 this, we really are talking about areas outside of  
5 the current indications and there must be  
6 publications that address this clinically in at least  
7 some sizable studies. Are those available for  
8 questions like this? And, should the FDA looking at  
9 what's been published as a guide for it? What  
10 secondary endpoints should they be looking at?  
11 Dr. McGrath.

12 DR. McGRATH: Well, I can answer that  
13 affirmatively. I mean if you look behind one of the  
14 tabs right here, there's a smattering of some of  
15 these papers. There's one specifically here on the  
16 hand. There's one specifically on the lip, and  
17 certainly in the published literature, there have  
18 been multiple supplements in different specialties in  
19 the journals on best practices for using the  
20 injectables in all of these locations but I think  
21 that for us to try to say we could come up with a  
22 couple of things today is kind of presumptuous  
23 because we really need to sit down and think very  
24 hard about this and pull the literature together and  
25 pull all the data in and really look at each anatomic

1 site. But I'd say, yes, if that was your question.  
2 Should different considerations be had? Yes.

3 DR. LoCICERO: So the Society of Thoracic  
4 Surgeons has a large database on cardiac surgery that  
5 approaches 2.5 million patients now over about 20  
6 years, which is a very powerful database for looking  
7 at outcomes and helping to direct where research  
8 should be. So I suppose if Dr. Weiss actually had a  
9 database from his Society of a million injections  
10 already, that would certainly help you a lot.

11 So maybe again this is something that is  
12 important that the societies consider establishing  
13 databases where this kind of information can be kept  
14 and then presented to the FDA as an assist.

15 MR. MELKERSON: I'm going to push one more  
16 time and ask for your indulgence, and maybe I'll put  
17 it in direct question for things like lip  
18 augmentation and for hand augmentation. Should there  
19 be functional evaluations along with a cosmetic or  
20 cosmesis or global assessment, I guess is the short  
21 question? I mean those tend to be the questions in  
22 terms of pushback that we get in terms of when people  
23 come to talk to us about, well, it's just an  
24 aesthetic or cosmetic device. Why do you need to  
25 look at function? So maybe those are the types of

1 questions but those two particular questions come  
2 back to us all the time with these type of studies.

3 DR. LoCICERO: Dr. Newburger.

4 DR. NEWBURGER: I think function is a  
5 critically important issue in both the hand and the  
6 perioral area, and because of the unique anatomy in  
7 the perioral area, it's going to be much more  
8 vulnerable to a lot of problems, for example, with  
9 repeated injections and scarring that occurs.

10 Over time, as lips thin, the scarring will  
11 become much more evident. So what seems like just a  
12 minor issue when someone is, you know, in their early  
13 sixties may become very evident five, six years later  
14 as the lip volume continues to diminish.

15 Also, there is an issue where I've seen a  
16 number of people reporting sensory deficits with  
17 perioral injection, and I think that if you ask the  
18 right questions, at the outset, then you get the  
19 information you want. I think that's much better  
20 than going to a database which is perhaps in  
21 retrospect. People tend not to report their adverse  
22 events in publications or they're just dismissed as  
23 anecdotes. Most of the reports are on the how-tos  
24 and many of these are the equivalent of white papers  
25 that are sponsored by industry, but I think that the

1 key is to ask the right questions at the beginning.  
2 I think that's very important. It's the most mobile  
3 area of your face, and we need it to eat and talk and  
4 perform other functions.

5 DR. LoCICERO: Dr. Anderson.

6 DR. ANDERSON: Well, I think along with  
7 that, satisfaction is going to be directly related to  
8 functional deficits in those areas.

9 DR. NEWBURGER: One other addition please.  
10 It's important when dealing with enhancement to make  
11 sure that you're not just enlarging the lips but that  
12 you're preserving the anatomic landmarks. That is  
13 critically important. So there has to be a device  
14 that can maintain that precision in the area.

15 DR. LoCICERO: Dr. McGrath.

16 DR. McGRATH: I guess this is a question to  
17 you. We're talking now about off-label uses, and I  
18 guess my question is the following: why is the FDA  
19 asking about the design of clinical studies? Are we  
20 feeling that there is going to be an interest in  
21 doing clinical studies to, look at what are currently  
22 off-label uses to make them on-label uses or why are  
23 we venturing into these questions at this point?

24 MR. MELKERSON: Well, let me put it this  
25 way. I can acknowledge or not acknowledge people's

1 interest but things that have been reported in  
2 literature, there have been expressed interests by  
3 company, I won't say which ones, in looking at  
4 potentially expanding their indication base, and the  
5 questions that we're asking here are actually looking  
6 forward to what types of studies, what types of  
7 information would be there. So the purpose of a  
8 general topics is what have we learned from what  
9 we've seen and how to we apply that to new  
10 expansions, new materials and I think your  
11 conversations today have actually helped us in that  
12 endeavor.

13 DR. LoCICERO: So I think we can go onto  
14 the final question.

15 DR. DANG: And this is a repeat of an  
16 earlier question for new indications for use. If a  
17 post-approval study is recommended for potential new  
18 indications for use, please suggest the appropriate  
19 study design, comparison group, length of follow-up,  
20 validated assessment and safety and/or effectiveness  
21 endpoints.

22 DR. LoCICERO: Mr. Melkerson.

23 MR. MELKERSON: One thing I was just  
24 reminded from the staff behind me, one of the things  
25 that was embedded in all those questions was issues

1 of controls for things that currently there are no  
2 approved products for, suggestions and how to  
3 approach or consider those.

4 DR. LoCICERO: Okay. So we'll address that  
5 along with this question. So this is kind of a  
6 repeat but we've addressed this partially already in  
7 terms of new indications as we were discussing it  
8 before.

9 So the one thing we really haven't  
10 discussed is controls. So now we're talking about a  
11 product that is now currently on the market and the  
12 sponsor wants an additional indication and what would  
13 be an appropriate control for that in a new area?  
14 Would it be appropriate to consider the same product  
15 for its current indication as a control or would it  
16 be appropriate, is it going to be necessary for us to  
17 have a different control product?

18 (No response.)

19 MR. MELKERSON: Let me see if I can soften  
20 the question a little bit. One of the things that I  
21 thought I had heard from the Panel was in regards to  
22 a product already has an approved indication. So  
23 again, asking what is the question you're trying to  
24 answer. In other words, what is the response near  
25 bone, near other anatomical sites in a more mobile

1 areas? Are those the types of questions that you can  
2 do, and I heard discussions of different study design  
3 options of patient not treated for a while, placebo,  
4 or sham injections or using a product not necessarily  
5 on-label but currently available. We have used  
6 standard of care in other device areas. Are those  
7 things that would allow you to get a safety profile  
8 compared to what is being used?

9 DR. LoCICERO: So let's start with a  
10 ridiculous example. Dr. Burke, you're involved in a  
11 study and you get to see a picture of a patient who  
12 has the product injected in one spot, first is saline  
13 on the other side, three months afterwards. How long  
14 is it going to take you before you can figure out  
15 which side is which?

16 DR. BURKE: Probably two seconds.

17 DR. LoCICERO: Yeah, a microsecond, right?

18 DR. BURKE: Yeah.

19 DR. LoCICERO: So I'm concerned about the  
20 use of some products. Again, we've talked about  
21 trying to find a product that's similar and we heard  
22 comments before we started deliberating that this is  
23 somewhat of a difficult issue.

24 So now we're going to find a product that  
25 allows us to make comparisons that we can already

1 see. Mr. Halpin.

2 MR. HALPIN: If the product's already  
3 approved in a different indication, I'm going to  
4 throw out an attempt of a design, would it be  
5 appropriate? I think it might be to, in some  
6 situations, have the baseline of the patient act as a  
7 control. So rather than trying to compare it to a  
8 non-existent product or some other contrive to  
9 treatment, is to evaluate it on the basis of change  
10 from baseline, and use that as a starting point for a  
11 product we already have an approval in another  
12 indication.

13 DR. LoCICERO: Dr. Li. Comments about the  
14 comment.

15 DR. LI: I'm not quite sure what to do  
16 about the control issue because I think comparing it  
17 to the baseline of the patient, it seems like the  
18 injection site in many of the criteria are always  
19 going to be different. So I'm not sure. I guess I  
20 can't think that through quite yet. So maybe you can  
21 come back to me in a few minutes. I'm still working  
22 on it.

23 DR. LoCICERO: Dr. Gooley, any insights?

24 DR. GOOLEY: Well, I think the choice for a  
25 control could be very much case dependent. I think

1 in some cases using a baseline would be appropriate  
2 and perhaps in some other cases it may not be  
3 appropriate. I think this is another one of those  
4 questions that depends a lot on the product that's  
5 under investigation.

6 DR. LoCICERO: Since the product already  
7 has a safety profile, do we need to have the same  
8 rigor for the safety when it's going to go onto a new  
9 position? Yes.

10 DR. NEWBURGER: I think that we have to  
11 have safety addressed because you're going to be  
12 using, if you're doing a cheek augmentation, you're  
13 going to be using a vastly increased quantity of the  
14 material, and since we have many of these, we don't  
15 know how they're metabolized. We don't know what, if  
16 any, risk of toxicity or -- with such a large  
17 quantity is going to bring about. So I think that it  
18 can't be confined just to efficacy but there do have  
19 to be safety issues addressed.

20 DR. LoCICERO: Dr. Burke.

21 DR. BURKE: I think one control could be  
22 the possible maximum amount to inject in specific  
23 anatomic sites at one time, and also recommendations  
24 as to how frequent or infrequent those injections  
25 should be. So you could recommend a certain maximum

1 volume for a hand, a certain much lesser maximum  
2 volume for a lip or for a glabellar area, one  
3 treatment, and recommend that that treatment not be  
4 done more frequently than at least once a month.

5           So I think you could make some educated  
6 control limits in amount and frequency of injection  
7 for each specific anatomic site.

8           DR. LoCICERO: Dr. Bigby.

9           DR. BIGBY: I think that if sponsors are  
10 requesting approval for different anatomic locations,  
11 that what one does really has to be based on the  
12 product and the experience so far with the product.  
13 In terms of a control group, I'll go back to my  
14 general statement and that is that the most important  
15 outcome in this situation is patient satisfaction and  
16 quality of life issues, and I feel very strongly that  
17 the safety issue probably in terms of design of the  
18 study is the most important, and you have to make  
19 sure that you have a study that is powered  
20 sufficiently to exclude a frequency of adverse events  
21 that you find unacceptable and you have to define  
22 what those are, and the duration of the study has to  
23 have something to do with the length that the product  
24 is known to stay in and the sort of fairly vast if  
25 not systematically collected data you already have

1 about the products.

2 DR. LoCICERO: Additional comments?

3 (No response.)

4 DR. LoCICERO: Mr. Melkerson, I think we  
5 have kind of exhausted our brains here.

6 MR. MELKERSON: I'm thanking the Panel.  
7 It's a difficult discussion, but these are the  
8 discussions we have internally and also externally  
9 with sponsors. Thank you for exercising your brains.

10 DR. LoCICERO: Thank you. So the meeting  
11 of the General and Plastic Surgical Devices Panel is  
12 now adjourned until tomorrow morning again at 8:00  
13 a.m.

14 (Whereupon, at 4:59 p.m., the meeting was  
15 adjourned, to reconvene the next day, Wednesday,  
16 November 19, 2008, at 8:00 a.m.)

17

18

19

20

21

22

23

24

25

## C E R T I F I C A T E

This is to certify that the attached proceedings  
in the matter of:

GENERAL AND PLASTIC SURGERY DEVICES PANEL

November 18, 2008

Gaithersburg, Maryland

were held as herein appears, and that this is the  
original transcription thereof for the files of the  
Food and Drug Administration, Center for Devices and  
Radiological Health, Medical Devices Advisory  
Committee.

---

DOMINICO QUATTROCIOCCHI

Official Reporter

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947